

ADMIN

Hair Dye Epidemiology

Draft Resource Document

EXPERT PANEL MEETING

June 9-10, 2025



Commitment & Credibility since 1976

Memorandum

To: Expert Panel for Cosmetic Ingredient Safety Members and Liaisons
From: Jinqiu Zhu, PhD, DABT, ERT, DCST, CIR Toxicologist
Date: May 16, 2025
Subject: Draft Revised Hair Dye Epidemiology Document

Enclosed is an updated draft of the Expert Panel Resource Document – Hair Dye Epidemiology (*report_HairDyeDocument_062025*). Also included are meeting transcripts dating back to 2017 (*transcripts_HairDyeDocument_062025*), Council comments (*PCPCcomments_HairDyeDocument_062025*), and the corresponding responses (*response_PCPCcomments_HairDyeDocument_062025*).

The previous draft was reviewed by the Panel at the September 2023 meeting, during which time the Panel emphasized the importance of maintaining this Resource Document as a living document intended to incorporate emerging epidemiological data. The Panel agreed that the conclusions should be periodically reassessed as new information becomes available, and discussed strategies to broaden the document's public accessibility. The Panel reaffirmed its commitment to continuous surveillance of epidemiological research on the potential association between personal hair dye use and cancer risk.

Since last seen by the Panel, a new systemic review has been identified, which includes up-to-date studies on personal use of hair products and gynecological conditions (both benign and malignant). The individual studies included in this systemic review had already been incorporated into this document and reviewed by the Panel during previous meetings. In this iteration, the Background section has been reorganized with several subheadings to improve clarity, including additional clarification on US regulatory framework for coal-tar hair dyes and other types of hair-coloring products. Information that the Panel did not review previously or has since been edited is **highlighted** for the Panel's consideration. The Abstract and Discussion sections were previously added for journal submission.

The Panel is requested to review this updated draft and determine whether it should replace the current version posted on CIR's Findings & Resources Documents page (<https://www.cir-safety.org/cir-findings>).



Memorandum

TO: Bart Heldreth, Ph.D.
Executive Director - Cosmetic Ingredient Review

FROM: Alexandra Kowcz, MS, MBA
Industry Liaison to the CIR Expert Panel

DATE: August 29, 2023

SUBJECT: Hair Dye Epidemiology Resource Document (draft prepared for the September 2023 meeting)

The Personal Care Products Council (PCPC) respectfully submits the following comments on the Hair Dye Epidemiology Resource Document considered during the September 2023 meeting of the Expert Panel for Cosmetic Ingredient Safety.

What is the suggested title of this paper if it is submitted to an epidemiology journal?

Background – If this paper is submitted to a journal, there should be a footnote with the Expert Panel for Cosmetic Ingredient Safety that describes the Panel and CIR and provides links to the CIR and Panel’s websites.

Background - Rather than calling this document a “study” it should be called a “review”.

Background – It should be made clear that references 4 and 12 are also reviews of data not independent studies of cancer incidence among hairdressers.

Background – For submission to a journal, a paragraph on how studies were identified and chosen for inclusion, e.g., only English language studies were included, in this review would be helpful.

Study Summaries – For a publication, it would be helpful if there was more synthesis of the results rather than just a listing of studies in the text as is done in the tables. This is especially true for the cancer types for which there are many studies available.

Breast Cancer – In the description of the WCHS study (reference 37), it says that “recruitment in NJ finished between 2006 to 2014”. The use of the word “finished” is confusing. It would be clearer to state that women were recruited for the study between 2006 and 2014.

Hematologic Cancer – In the description of reference 44 in the hematologic cancer section it mentions results for bladder cancer.

Hematologic Cancer – In the description of the meta-analysis (reference 28) it states: “the results of intensive exposure did not show any association between hair dyes exposure and hematopoietic cancers...”. It is not clear what is meant by “intensive exposure”.

Hematologic Cancer – In the description of reference 54, it is not necessary to restate the reason why an analysis of hair dye use before and after 1980 was completed, as this was stated in the Background section.

Pediatric Germ Cell Tumors – Unless there is more information on how PFHxS is related to hair dye exposure, this study (reference 74) should be deleted from the document.

Genetic Polymorphisms – Since reference 77 is not about the association of hair dyes and cancer, does it belong in this review? If it is left in the review, it would be helpful to note if the Arg/Arg genotype plus hair product exposure (includes more than hair dyes) is associated with an increase in benign breast disease.

Expert Panel Resource Document: Hair Dye Epidemiology June 9-10th, 2025 Panel Meeting – Jinqiu Zhu	
Comment Submitter: Personal Care Products Council	
Date of Submission: 8/29/2023	
Comment	Response/Action
<p>What is the suggested title of this paper if it is submitted to an epidemiology journal?</p> <p>Background – If this paper is submitted to a journal, there should be a footnote with the Expert Panel for Cosmetic Ingredient Safety that describes the Panel and CIR and provides links to the CIR and Panel’s websites.</p>	The submission to an academic journal has been put on hold.
Rather than calling this document a “study” it should be called a “review”.	Addressed
Background – It should be made clear that references 4 and 12 are also reviews of data not independent studies of cancer incidence among hairdressers.	Addressed
<p>Background – For submission to a journal, a paragraph on how studies were identified and chosen for inclusion, e.g., only English language studies were included, in this review would be helpful.</p> <p>Study Summaries – For a publication, it would be helpful if there was more synthesis of the results rather than just a listing of studies in the text as is done in the tables. This is especially true for the cancer types for which there are many studies available.</p>	The submission has been postponed.
Breast Cancer – In the description of the WCHS study (reference 37), it says that “recruitment in NJ finished between 2006 to 2014”. The use of the word “finished” is confusing. It would be clearer to state that women were recruited for the study between 2006 and 2014	<p>Addressed. The sentence has been revised as follows:</p> <p><i>Women were recruited in NYC between 2002 and 2008, and in NJ between 2006 and 2014.</i></p>
Hematologic Cancer – In the description of reference 44 in the hematologic cancer section it mentions results for bladder cancer.	Corrected
Hematologic Cancer – In the description of the meta-analysis (reference 28) it states: “the results of intensive exposure did not show any association between hair dyes exposure and hematopoietic cancers...”. It is not clear what is meant by “intensive exposure”.	In this study, "intensive exposure" was defined as having more than 200 lifetime exposures to hair dye.
Hematologic Cancer – In the description of reference 54, it is not necessary to restate the reason why an analysis of hair dye use before and after 1980 was completed, as this was stated in the Background section.	Addressed. The relevant description has been deleted.
Pediatric Germ Cell Tumors – Unless there is more information on how PFHxS is related to hair dye exposure, this study (reference 74) should be deleted from the document.	It has been deleted.
Genetic Polymorphisms – Since reference 77 is not about the association of hair dyes and cancer, does it belong in this review? If it is left in the review, it would be helpful to note if the Arg/Arg genotype plus hair product exposure (includes more than hair dyes) is associated with an increase in benign breast disease.	As indicated in the study summary, subjects were exposed to a combination of hair products, including hair dyes, straighteners, and relaxers. This study has been excluded from this iteration.

APRIL 2017 PANEL MEETING**Dr. Marks Team – April 10, 2017**

DR. MARKS: Now we'll go back to hair dye. Something that Ivan and I are very interested in. Do you want any, you made, some, a few comments, changes in red. A lot of it has to do with obviously cancer, and after you make your comment, Ivan, I'd like obviously Tom to react and then anybody else. Ron and Ron. So, Ivan, do you want to bring us up to date on that? And that's administrative page 35.

DR. BOYER: So, for hair dye, we've been monitoring the literature, looking for papers that might be relevant for updating this particular document, which we have posted online, which we refer to through a link that's incorporated into our safety assessment reports when it's appropriate. And it's been a while since we've updated anything. A few papers have shown up in the literature that seem to be relatively inconsequential, as far as the bottom line is concerned for this particular document. But we thought that, at this point, it'd be a good time to go ahead and incorporate those few papers that we have in this particular revision. And I guess to get the panel's feedback on whether or not simply accepting those changes is adequate, or if you see anything in there that might warrant some additional attention at this point.

DR. SHANK: I think you've done a great job. I don't have any change.

DR. SLAGA: I completely agree.

DR. MARKS: Okay. Sounds like we endorse the changes, Ivan...

DR. HILL: Yeah, I just had a couple of questions. When you mention, it's reference 15, it's the Chang et al, in cancer case control. Would it be appropriate to add any short sentence fragment on the nature of the association? When it says there's an association between this, that or the other, is there anything that can be? Do you know where I'm talking about here, it's exactly where the, search on associations. I usually highlight this sort of thing.

DR. SHANK: Is it page 41? On that table?

DR. HILL: Yes. I think that's it. That's exactly it. It's in the table where it's mentioned. I think that's the same reference where they re-analyzed what appeared to be the same data set. So it was more than 2007, is that the one? I'm not sure. Hold on. Yeah. John 2009 versus Morton 2007. I think it's the same data. Or that might be a different one. No that's a different one. That's a different one.

DR. BOYER: So, when you're asking for additional information on what the nature of the association, do you mean, for instance, the odds ratio that they may have calculated?

DR. HILL: It says an association between ever/never use of hair dyes, and the negative NHL was reported. That doesn't tell me anything. Just there was an association.

DR. BOYER: All of these studies have been summarized in a little bit more detail in the text of the document.

DR. HILL: Yeah

DR. BOYER: We try to keep it fairly short, and consistent as far as the information that we presented for each of the studies summarized. But I can take another look at it. The nature of the association is, at this point, you know, we've got these two different varieties of lymphomas. And one of them, there was a statistically-significant association that's probably represented by an odds ratio. None of the odds ratios exceed about two or so. So they're fairly small, and given the confounding factors typical in those types of studies, they're...

DR. HILL: I had been looking for something simpler, which was, it increased the odds of the cancer, or it decreased.

DR. BOYER: Oh, I see what you mean.

DR. HILL: Maybe that's implicitly obvious. That's so obvious, it couldn't have been that. It must have been a little more description but in there...

DR. BOYER: Okay

DR. HILL: But it sounds like there is no short encapsulation. From what you're saying. Sorry, I interrupted you. Didn't mean to...

DR. BOYER: That's fine. I'll take another look at it and see if we can include something a little more informative, without going into great detail.

DR. HILL: And similarly, just to enlighten, again, the reader can go out, but they have to go out and look at references, what the nature of the STAR 10 mutant of that N-acetyl transferase type one is the NAT 10. What exactly is the STAR 10? I actually had difficulty finding. But I think it's out there, I just didn't follow-up and finish before I got here. I was looking at this like two weeks ago. It was on my punch list, but I didn't get that far.

DR. BOYER: Mm hmm. Okay. I'll do that.

DR. MARKS: Okay. Any other comments about the hair dye boilerplate?

DR. BERGFELD: Was that to be an edit? And then it will go up on the website? Was that to be an edit?

DR. MARKS: Yeah. I think we'll have a discussion tomorrow.

DR. BERGFELD: Okay

DR. MARKS: And Ron Hill, you can bring it up. It sounds like Ivan, you'll take a look at it and see how it can be changed a little bit. But I didn't get a sense from Tom or Ron Shank that there was concern about this.

DR. SLAGA: My only comment about that would be, it's so weak, that you have to be careful how you state it. I mean you don't want it to come across like you're increasing cancer.

DR. HILL: Point well taken.

DR. SLAGA: So, the words, I like the way you have it.

DR. HILL: Okay. I mean, that's fine.

DR. MARKS: Okay. That's important, Tom. So it sounds like, Tom, as our cancer expert, would say leave it the way it is. Don't worry about smithing it. And we'll see what the Belsito team says tomorrow. Am I interpreting correct, Tom? Is that okay with you, Ron Hill?

DR. HILL: Yes. I still think a short description of what NAT 10 is belongs in there. And the STAR 10 allele. And also, similarly you've got arylamine acetyltransferases that can function to activate or de-activate arylamines. I've never encountered an instance of activating by acetyltransferases acetylation. And Ron Shank might have a thought on this, but acetylation, as far as I've seen, is always inactivating in terms of abolishing toxicity. So that's why you look at fast acetylators versus slow acetylators. In terms of certain drugs that have aniline-type nitrogens, or can have aniline-type nitrogens generated. That the acetylation, which is what the acetyltransferase is catalyzed, invariably deactivating.

DR. BOYER: So it sounds like what you're suggesting are basically some clarifications that wouldn't take much in terms of editing.

DR. HILL: No, in that particular case it's just function to activate or deactivate. I was sort of suggesting that we don't need activate, just deactivate. But I wanted to see if any of the others were aware of any cases where they saw that acetylation serve to activate. I've never encountered such.

DR. MARKS: I assume from a procedural point of view the Council, the Scientific Committee, will have some comments. And we're going to look at these documents again. Boilerplates with that in light.

DR. EISENMANN: Right, and this one is the Hair Color and Technical Committee that will look at it.

DR. MARKS: We'll have another look at this before it gets posted, I suspect. Unless that committee says everything looks fine and we can proceed.

DR. GILL: We were hoping to have a presentation at the June meeting from someone from that technical committee.

DR. MARKS: Okay.

DR. GILL: We've just decided to get this out earlier to get the thinking going.

DR. SADRIEH: I just have a question. So, I just want to understand that an increase in the arteries show two is not to be considered an increase in cancer? Is that what you're concluding? That an increase is not...

DR. SHANK: Statistically, it comes out so weakly, that most people I know consider it not to be a positive effect. It's a weak association is the only way I can describe it. It doesn't make it, I think if you use the word increase, it sounds like it's really increasing. That is questionable.

DR. SADRIEH: Okay. From one to two is not an increase. Is that? I mean, like a three would be an increase? What would be an increase then?

DR. SHANK: The change is insignificant.

DR. BOYER: You also want to look at the confidence interval. I mean if you have a two, and you have a confidence interval that doesn't include one, or the minimum is not far from one, then you would consider that to be a very weak association. On the other hand, if you have an odds ratio of 10, 11, 12 and so forth, and an odds ratio that does not include one, that exceeds one proportionally, then that would be a clear indication that there's an association. Generally, that's how epidemiological studies are interpreted. And there's good reason for that. There's a good argument that can be made to support that perspective, that way of interpreting those kinds of studies.

DR. MARKS: Thank you. That was helpful. Refreshed my memory on statistics 101. Any other comments on hair dye boilerplate? If not, then, tomorrow I'm just gonna mention that the format, the changes are fine with our team.

Dr. Belsito Team – April 10, 2017

DR. BELSITO: Hair dye. What page, and this is in admin.

DR. LIEBLER: 36.

DR. BELSITO: So with the bladder cancer, I mean again there's so much with these epi studies. There was that women who were college grads were more likely among hair dye users to have bladder cancer. I mean when you broke them out. And, again, were these studies controlled for smoking and other contributing factors, do we know? In this study by Ross, et al, 2012, a population based study -- Oh, no that wasn't the one. It was the one in New Hampshire, Vermont, right? Yeah. So in the Koutros 2011 study, the

study in Maine, Vermont, New Hampshire, the finding was an increase in bladder cancer with permanent hair dye use in a sub group of women with a college degree. But not dose response for color duration of use, or total lifetime uses.

And then the NAT2 phenotype was associated with a suggestive but not statistically-significant increase when college degreed women were stratified by education.

I mean I just point that out because, looking back at my childhood in the 50s and 60s, the mothers who went to college seemed more likely to be smokers, at that point in time, than the women who did not go to college in the 40s, because they were cool, educated, college women and sophisticated, and smoking was sophisticated. So, I mean, we know smoking is a risk for bladder cancer. So, in a lot of these epi studies, it just would be nice to get a sense of how well these were controlled. And then you have that whole issue of hair dye use pre 1980, post 1980, in terms of cancers.

Because there's no consistent trend, but then the data is also, it's the same with breast cancer. The Finnish study, there was an increase in odds of breast cancer in women who ever used hair dye, compared to those who never used hair dye. And it's a significant trend in the odds ratio for cumulative use of hair dyes. And that's coming out of Finland, where I would presume most women aren't using the same color hair dyes that the Italian women would be using. They're going to be much lighter colored hair dyes, if not blondish hair dyes.

It would be nice to see, and to report when we're doing this, whether they analyzed for other confounding factors between the control groups. What was the difference in bladder cancer among those who never used a hair dye? Did they smoke or not smoke? Did they even look at that? I mean otherwise I thought it was fine. I have no comments. We can continue to use it with the updates, but it's just that as I read through it, the idea of any confounding factors that might affect these cancers was never even mentioned.

DR. BOYER: It is pretty much standard practice for people who do epidemiological studies to at least do some sort of an analysis for the confounding variables. But they usually lump them together, so it's unlikely that smoking would be isolated as a single confounding factor in any one of these studies. But we can certainly bring forward --

DR. BELSITO: Just a brief statement as to whether confounding factors were looked at at all. They usually are, but not always.

DR. LIEBLER: I'm assuming these little paragraphs are mostly taking from the abstract from the papers.

DR. BOYER: No, actually they are our own.

DR. LIEBLER: I don't mean literally word for word, but you're distilling this from the main conclusions from the abstracts?

DR. BOYER: At least for the ones that I summarized, I've looked at the whole paper. And we rated the quality of the paper, let's put those plusses, double plusses, triple plusses.

DR. BELSITO: Right, four plusses.

DR. LIEBLER: The confounders are usually not mentioned in the abstract. But usually they are discussed in the discussion. And I'm sure you've looked at that. So that's there if you want it.

I took a very different approach to this document, maybe it was because I was near the end of my preparation, but I basically started with okay, for hair dyes, we basically take the position right now that there are no convincing data that support the causative relationship between hair dyes and cancers. So I'm looking at the new changes to see if any of those changed that conclusion. My assessment no. So we can update it, but doesn't change the conclusion.

DR. BELSITO: Yeah, fine. And I guess my point was a mention when we update it that confounding factors were or were not looked at in the report.

DR. SYNDER: Was that considered in your scoring scale, a one plus, two plus, three plus, whether they looked at confounding?

DR. BOYER: Whether they looked at confounding, no.

DR. SYNDER: Probably should. I have kind of a silly comment, but in the intro or something you should identify bladder cancer as urinary bladder cancer, not gall bladder cancer or something else.

Full Panel – April 11, 2017

DR. MARKS: The next is a draft update of the expert panel hair dye epidemiology. Findings and --. There are actually a number of changes in there. But our panel did like this also. So we'll mimic the Belsito team, at least in the previous drafts. We liked it.

DR. BERGFELD: Yeah. Belsito team. You liked it too?

DR. BELSITO: Yeah. I'm just trying to find out exactly where it is. Looking through dye and hair dye.

DR. MARKS: It's in page 35 in the Administrative tab there.

DR. BELSITO: Okay.

DR. MARKS: (inaudible)

DR. BELSITO: So, just off the top of my head, before I get to page 35. The one issue I had is, you know, yeah, the data is inconsistent. We say how we're looking at the data, yada yada yada. But, you know, there are some data coming out that are showing

some linkages. So, for instance, in terms of, I believe it was bladder cancer in women in New Hampshire and Vermont, if they were college grads, that incidence was positive, if they weren't it wasn't. And just, you know, looking back at my own childhood in the 1950's and my parents. You know, my impression was that women who went to college smoked a lot more than women who didn't go to college in the 1950's. And I was just wondering how well these studies are controlled for other confounders that could influence the cancer's in question? And in our boilerplate, we never mention that. So, I mean, they are epi studies. They are very hard to control. But did they look at other confounding factors that might contribute to these cancers? And so I'm fine with the document. I don't think that, in consumers, there's any strong evidence to suggest carcinogenicity of these hair dyes. I would just like, as we're going through the documents, a simple statement as to how well they looked at potential confounders in these studies that might contribute to the specific cancer endpoints in question. You know, like, for instance, even the relationship between cosmetologists and bladder cancer, you know, there are studies that show that cosmetologists smoke more than the general population. And then we know smoking is a risk for bladder cancer. So is it the hair dyes? Is it the other chemicals they use? Is it the smoking? Is it the combination of all of these? So, just a mention as to how well these studies were controlled for other confounders.

DR. BERGFELD: I'd like to make a comment. If you look at the references there, the references are in really strongly peer-reviewed journals.

DR. BELSITO: I understand.

DR. BERGFELD: I would think that those risk assessments, additional risk assessments, would have been made.

DR. BELSITO: Yeah. I mean, I think there should be --

DR. BERGFELD: A clarification would be well, but --

DR. BELSITO: -- at least a comment.

DR. BERGFELD: New England Journal, cancer. I mean, these are major.

DR. BELSITO: I'm not saying that they weren't.

DR. SLAGA: There's a lot of confounding issues and a good study that is peer reviewed, you know, that's one of the things they really look at. Are -- everything controlled for?

DR. BELSITO: Right. I understand. But we don't mention that in our --

DR. SLAGA: Yeah.

DR. BELSITO: -- reports. And I think just a one or two sentence mention that the following confounders were looked at.

DR. SLAGA: Yeah.

DR. LIEBLER: So, I think, even in the very best journals, the epidemiology is sometimes necessarily complicated by confounders. They can't be fully teased out and excluded, but need to be acknowledged, and are treated in their discussions.

DR. SLAGA: Right.

DR. LIEBLER: And this is going to be a case-by-case basis, where you might need to pull out something that appears interesting and potentially relevant from these discussions. And, Ivan indicated that he reviews the entire papers in preparing these. But I think it would be a good idea to consider, you know, looking at these carefully to see if there are any issues that were raised in a particular study that they said, you know, as possible confounder, we couldn't really resolve it. We think our conclusions are reasonably strong. But, and put the but in there for us.

DR. SLAGA: Right.

DR. BERGFELD: Good idea. I think that's a good editorial idea. Yeah. All right. Any further discussion. We have a next one?

JUNE 2018 PANEL MEETING

Dr. Belsito Team – June 4, 2018

DR. BELSITO: Hair dye epidemiology, I guess that's the next one. That's also in admin, correct?

DR. HELDRETH: That's a separate book.

DR. BELSITO: Okay. Yes. I thought it looked fine. I had a couple of comments on PDF Page 3. The second line, third line -- so, let me see. It says, an odds ratio of 1 means that an exposure does not affect the odds of an outcome. RR of 1 means that there is no difference. I presume it's an odds ratio of less than 1. There is a less than sign missing there? Third line from the bottom, PDF Page 3.

DR. LIEBLER: I think odds ratio don't have a sign.

DR. BELSITO: Well, he's defining what it means. And odds ratio of less than 1, I believe, means an exposure does not affect; and of 1 means there's no difference; greater than 1 means the exposure may increase. He's defining what odds ratios mean. Read the sentence. So, I think it's an odds ratio of less than one means that an exposure does not. The 1 means there's no difference; and greater than 1 means it increases the risk. So, that needs to be changed.

And then on PDF, Page 9, the first paragraph. The sentence of the first paragraph, the one, two, three, four, five, starting with, "Using a random effect model and the Duval and Tweedie's trim and fill procedure to adjust for publication bias in the presence of between studies heterogeneity." What does that mean?

DR. HELDRETH: I'm sorry, I was looking at another page. Where is that?

DR. BELSITO: PDF Page 9. The one, two, three, four, five, six -- six lines from the top, starting with using a random effects model. Are you with me?

DR. HELDRETH: Yes.

DR. BELSITO: Okay. Show procedure to adjust for publication bias in the presence of between studies heterogeneity. For publication bias for study heterogeneity? I don't understand what you're saying there.

DR. ZHU: That's the method they used in this paper, by this author, to do the meta-analysis.

DR. BELSITO: I understand the method, but the sentence makes zero sense to me. "For publication bias in the presence of between." Publication bias between studies? Publication bias because of heterogeneity of studies?

DR. ZHU: Okay. I think this method is used to evaluate the study's heterogeneity for different studies, epidemiology studies.

DR. HELDRETH: Right. But he's asking you -- the verbiage that's there isn't quite clear. Could you give us a better sentence?

DR. BELSITO: I guess my question is, what does the Duval and Tweedie's trim and fill procedure adjust publication bias for? For study heterogeneity? And then it says, "such meta-analysis showed." What is the bias that it adjusts for? I don't under that.

DR. HELDRETH: When they did the review of multiple studies, they excluded some studies. They had a bias, a rationale for why they excluded those studies, and possibly maybe that they're rationale was questionable. But that's to be assessed by the experts here.

DR. BELSITO: Right. I got the understanding that the trim and fill means they cut out some studies. I understand that. But for publication bias. I mean, what is in the presence of between studies heterogeneity? Publication bias because there was a lot of heterogeneity between the studies they put in the meta-analysis?

I don't understand what they're electing to trim. That sentence makes no sense to me and doesn't explain to me what that model is.

DR. ZHU: Sure. This is a model used by the author to do the meta-analysis.

DR. BELSITO: I understand. What I'm saying is, please look at what the model does and put it into a better sentence that makes it understandable as to what it's doing.

DR. ZHU: Sure.

DR. BELSITO: I had no other comments.

DR. LIEBLER: I just wanted to return to the odds ratio sentence because I think it was correct as originally written. So, this is again the bottom of Page 3 on the PDF. If we're talking about the same sentence, Don, I want to make sure; an odds ratio of one means that exposure does not affect the odds?

And if it's 1, that's exactly correct. If there's a lower risk of the outcome as a function of exposure, then that's when the odds ratio is less than 1, like .8 or .6 or .5. But as written, it was correct, so, it doesn't need to be "less than" added to that sentence.

DR. KLAASSEN: Well, the other aspect of these odds ratio is that they always give a confidence -- or a range. So, you can have an odds ratio of 1.5, but if the confidence interval is 0.9 to 2.3, it's not significantly different. It's kind of an over simplification because it's the odds ratio with the 95 percent confidence interval. For it to be significant, you not only have to have the odds ratio, but the 95 percent confidence limits greater than 1.0.

And there's a lot of them that are 1.4 that are not significantly different because you have the 1.4, and then your confidence interval goes from 0.8 to 2.3. So, then that's not significantly increased. Just so everybody realizes that.

DR. HELDRETH: Okay. Should we then add a small section about confidence intervals?

DR. KLAASSEN: I think for people that aren't familiar with that, and some people that are reading this probably aren't.

DR. LIEBLER: I think as written, it does at least introduce what the odds ratio and relative risks are -- defines them clearly enough.

DR. KLAASSEN: Yes.

DR. LIEBLER: But then I agree with Curt's suggestion that perhaps we add a sentence or two at the end of the paragraph to explain that typically odds ratios are presented with calculated ranges based on the application of the appropriate statistical test.

DR. ZHU: Okay. Will do.

DR. BERGFELD: I was confused with just the tabulation of all these different studies. And the takeaway message is what? Is it presented here in the first couple of paragraphs, conclusion? I think it's in the first paragraph, in the beginning of the document. Because you end this document with the DNA repair enzyme genes and no summary, no discussion, no nothing.

DR. LIEBLER: You think we ought to move the conclusion paragraph to the end of the document?

DR. BERGFELD: I think like all of our documents -- this is a lot of information. Somewhere there has to be a summary in a few paragraphs, maybe, and a conclusion. I don't mind keeping the conclusion up front, but when I was reading this, I said, is this this conclusion, or is this the past conclusion? Because we've concluded the same thing in the past.

And then when it ends so abruptly. What is the information that we're passing on, risk, no risk? Maybe a risk?

DR. BELSITO: I agree with the conclusion part. I think the information is summarized under each of the cancer endpoints, prostate, bladder, breast, et cetera. And then at the end, you know, come to a little bit of a discussion that there have been reports of these various cancers associated with hair dyes. However, in reviewing all of the reports, there is no definite link between personal use and any of these cancers. And then our conclusion.

DR. BERGFELD: You agree that it should be added?

DR. BELSITO: Yeah. I mean, I see your point. I didn't see that when I was reading it because the conclusions were said, all at the end, for specific endpoints; but you're right. It could be taken that the conclusion up front was our prior conclusion and then at the end, we reviewed all of this and we haven't been able to make a conclusion. It's not the usual place that a conclusion is placed, at the beginning of a document.

DR. HELDRETH: For that conclusion that we're going to put at the end, is it the same verbiage that's already in the front? Or is there something different that the panel would like to say at the end?

DR. BELSITO: I think that the introduction should be what we had previously look at and what our prior conclusion was; and that since that time there had been a number of other reports, as outlined below, that have looked at these issues. And this is an update in our prior report, and a reconsideration of our conclusion.

DR. BERGFELD: With a date.

DR. BELSITO: With a date. And then go through all of this and then come back. And the conclusion can be the same; but it just points out that since 2014, or whenever it was that we last looked at this, we've now looked at all of the studies and still do not see a reason to change our initial conclusion.

DR. BERGFELD: Do you think there's a reason to put somewhere in the discussion that Dr. Naldi was asked to review these, that an expert reviewed it?

DR. BELSITO: I thought it was sort of clear there, but yeah, I mean, that's important.

DR. BERGFELD: I mean, it isn't just us looking at it, we've had an expert look at it.

DR. SNYDER: I agree with the Council's comment that we should change this to a guidance document.

DR. BERGFELD: Resource.

DR. SNYDER: Not from -- a guidance -- resource document from a guidance document. I think that the opening paragraph, which has been discussed here largely, should just be like one of our reports. It should be very succinct, like almost abstract form, and that language is exactly what we incorporate into the report.

And before that, we say this document was last updated, and give the date; just like we do in our regular reports with a thorough literature search and consideration. Any new publications relevant to the epidemiology of the association between hair dye use and various cancers.

But I think that the opening thing should be exactly what we take, and that should go straight into our reports for hair dyes. And under that we can give the methodologies that we use to generate this resource document. And then followed by all of the brief summaries of all the individual studies.

DR. BERGFELD: And then a discussion/conclusion; it's the same format?

DR. SNYDER: Yeah. I think almost like one of our reports. I think that would be the most succinct way to handle it.

DR. HELDRETH: Okay. So, then the suggestion is that we expand this from the type of document -- the hair dye epidemiology document that it was -- and make it also have a boilerplate functionality to it?

DR. SNYDER: That's the recommendation. Then you can clearly see where the language comes that we take from our resource document; and then it's updated, and then it goes into our reports as they're published, subsequent to the most recent update.

MR. GREMILLION: I have a clarifying question. So, the expert is only between hair dye and breast cancer; is this doctor Naldi a dermatologist?

DR. BELSITO: Dr. Naldi is an epidemiologist in Bergamo Italy. I know him through his work in dermatology. He's considered a real expert epidemiologist. He consults for the Research Institute for Fragrance Materials, and a large epidemiologic study that they're sponsoring in Europe called the EDEN Group. So, he may be associated with the Department of Dermatology, I don't know, but his background is as an epidemiologist.

MR. GREMILLION: I also wanted to call attention to kind of an inconsistency I saw in his report. At the end of this document he says, "The available evidence linking hair dye use and breast cancer is limited but warrants further investigations." And earlier in the document, just half of that sentence, "The available evidence linking hair dye use and breast cancer is limited" period, is stated. I just felt like that was maybe a little bit of a mischaracterization of what he concluded.

DR. HELDRETH: I think the intent of -- and you know, I'm just trying to understand it from reading it myself. But I think the intent there was to lay out, well there may be some epidemiology studies here that maybe there's some sort of association or maybe there's not. But either way, epidemiology studies never give you cause and effect. Even if it came out with a strong odds ratio, that still would not mean that there's cause and effect. And there would need to be further study done to see if it's an actual causality.

DR. BELSITO: I actually took that as being, okay, here's the opening remark. It's limited, here's the data. And after looking at this limited data, here's my conclusion. It starts, the available evidence linking hair dye use and breast cancer is limited. It is limited. That evidence is limited. He's reviewed the evidence and his conclusion is that further studies are warranted.

MR. GREMILLION: Yeah. And the conclusion that further studies are warranted is a reason that -- implicit in that is that there is some evidence out there that would make you want to look for more evidence.

DR. BELSITO: Usually, when you say further studies are warranted, in science, it's because there's no definite data. It's that the studies that exist are limited, they don't conclude one way or the other, and therefore, more information is needed.

DR. SNYDER: Because the effect could be a compounding effect and have nothing to do with hair dyes. And so, I think that's what he's alluding to.

MR. GREMILLION: Sure, but to say the available evidence is limited, but warrants further study, versus just, the available evidence is limited. I mean, the first says something about the body of evidence is out there but warrants further study; then there's some reason to believe that the further study may illuminate some relationship.

DR. BELSITO: Or just the opposite and show that there's no relationship.

DR. SADRIEH: I think maybe it would be a good idea to kind of suggest what kinds of studies would be needed. Because, you know, the types of studies that have been looked at is case-control studies, which basically come with recall bias. So, I think that there's going to be inherently -- you're never going to find an association, even if you find a good relative risk or odds ratio, or whatever.

The question is, what would be enough? I guess, from my perspective, the way that this is being evaluated and by not really doing a systematic review, I don't know really what this kind of analysis is going to end up reporting; because there is no way of being able to get any information that is going to be useful in anyway.

I would maybe suggest that we look into the possibility of the types of studies that would be useful. And if they are prospective study that has to be done, then how would they have to be done? And if it's a systematic review of the existing literature, then how would that have to be done, to then weight the studies such that we actually can draw conclusions that are useful?

Because right now it's just kind of look at the information, you know, the previous data that wasn't conclusive. This date is not conclusive, I doubt that any data is ever going to be conclusive if we keep looking at the information in this manner. Thank you.

DR. BELSITO: Bart, maybe we can get back to Luigi and ask him what kind of studies he would, as an epidemiologist, believe would answer this type of question. And then further studies, further prospective studies, further da-da-da kind of studies, would be needed.

DR. HELDRETH: We can certainly pose that question to him.

DR. BERGFELD: Is that in the purview of this panel?

DR. BELSITO: I think it's in the purview of the panel to try and determine the safety of hair dyes. Normally, we don't conduct studies, but we're having an epidemiologist look at this and saying that the studies that exist aren't adequate.

And we will oftentimes, in the purview of the panel, say we wanted 28-day dermal toxicity and if it absorbs in other toxicological endpoints. So, we're not specifying the study in detail, but getting a comment as to what kinds of studies might help address this situation.

DR. BERGFELD: Most of the data, though, I believe said in 1980 there's a change in the epidemiology looking at breast cancer. The earlier dyes may have been carcinogenic. The newer dyes --

DR. BELSITO: The big issue is the new data that suggests African American woman have a higher risk of breast cancer with hair dyes; which sort of raised, for a lot of people I think, the question, are darker colored hair dyes of greater risk in terms of breast cancer? And that's always been a question in regard to other types of cancers as well. I do think that needs to be addressed in some fashion.

DR. BERGFELD: And also, they have to define what hair dyes they're actually using. Some of them are old types.

DR. LIEBLER: We talked about the conclusion and how the report just sort of stopped at the end of the narrative of the data review. Sometimes, when you have a document like this, it helps the reader to have not just a conclusion, which is usually very brief and probably maybe overly general, to have maybe a couple of paragraph discussion that summarizes the outstanding issues and what are the issues that probably won't be resolved by further studies of the types that have already been done and the meta-analyses that have been done.

So, in other words, what are the -- anyways, Don just pointed out, the association with breast cancer risk in African American women with hair dyes. That seems like a significant, interesting issue that could be resolved by another focus study, possibly. But the broader question of hair dye association, we've got actually a lot of data. And it's basically very modest affects and the data are consistently inconsistent. In other words, there's a consistent marginal affect a little bit. Plus, a little bit, you know, higher than 1, a little bit less than 1.

But I think perhaps a paragraph that summarizes kind of what are the main outstanding questions that remain, and what issues are probably not going to be resolved any better than they're currently resolved, followed by a conclusion.

DR. SADRIEH: That may be true, but at some point, one has to address how one would resolve these questions. I think, you know, there has to be a way to be able to move sort of the answer a little bit forward, other than to say that, you know, there's no way that a link can be established because --

DR. LIEBLER: No, I wasn't saying that. I wasn't saying that. I think sometimes it's good to just step back and say, okay, what have we learned? What are the questions that we could resolve, and how could we resolve them? And what are the questions that we're unlikely to be able to resolve with these types of studies?

DR. SADRIEH: Right. But then we also have to say what kinds of studies would we have to do in order to -- so, identifying the deficiencies is one thing. But we have to also say, how are we going to address the deficiencies.

DR. HELDRETH: Isn't part of the answer to what kinds of studies would be done, it would be studies other than epidemiological studies, typical carcinogenicity endpoints that we would study where we were looking at a chemical and we're seeing an endpoint effect?

DR. EISENMANN: Hair dyes are very carefully studied for genotoxicity. And they've been coming up negative, the current hair dyes that are used.

DR. SADRIEH: Yeah, but you can't answer sort of the human risk aspect with the genotox or an animal carcinogenicity study. You have to look at human data. And I don't think you could do a human cancer study. So, you're going to have to look at epidemiology data and, you know, the studies have to be either prospectively designed -- I mean, I think a lot of the studies here are sort of other studies that were being done and they kind of asked an extra question about hair dye use, without knowing which hair dye, how often, what was the formulation, anything. So, you know, I think it's very difficult to draw conclusions from doing such a superficial review and then coming up with a conclusion that, you know, there's no evidence. Because I think that can be even more misleading than anything. Because you really haven't done the effort of trying to answer the question or identify what needs to get done to answer the question. And then the response is somewhat minimal and probably not helpful to the public.

DR. EISENMANN: One other comment that we have on our comments is back in 2006, Dr. Rollison did that paper and suggested the scoring of exposure for every epidemiology study. And that's been taken out of the table of this report. We'd like to see it put back in and, for the new studies, for that scoring to be added. So, it would be rated as to -- was the exposure just yes or no or was it more in detail about --

DR. BELSITO: So, you're talking about what is a Gemlish (phonetic) score? Is that what you're asking about?

DR. EISENMANN: No, it was Dr. Rollison score. It's in the text of some of it, and it used to be in the table, but it has been taken out. If they need the paper again, we can provide it. But she explained how to score exposure.

DR. ZHU: We have the paper, so I can add it back into the table.

DR. SADRIEH: Thank you.

DR. BELSITO: Any other comments on hair dye? Okay.

DR. BERGFELD: I have a comment. It would seem to me that this hair dye document needs to come back again for review comment.

MR. GREMILLION: Just kind of random observation. On Page 18 of the PDF, he says, "Taking skin cancer aside, breast cancer is the most common cancer diagnosed in women worldwide." And that's at odds with the World Cancer Research Fund International. They said lung cancer was the most common cancer; and skin cancer is down there, pretty far. There's just some odd --

DR. BERGFELD: Usually melanoma ranks about third or fourth.

DR. BELSITO: Yeah, but skin cancer is not just melanoma; it's basal cell and squamous, which aren't reported. So, he's correct. And this is speaking about women, not population in general. And I think it's men who skew lung cancer ahead of breast cancer. Any other comments? Okay. Polyaminopropyl Biguanide.

Dr. Marks Team - June 4, 2018

DR. MARKS: Oh, now we're into the hair dye epidemiology. That's going to be significant. Here we go, let's see. Where do I have that? Here it is. And I am not fluent; and I assume -- is this Chinese?

DR. ZHU: It's Jinqiu.

DR. MARKS: Jin --

DR. ZHU: Jinqiu.

DR. MARKS: Jinqiu.

DR. ANSELL: A new CIR writer.

DR. MARKS: Oh, I know that. I was getting the pronunciation of Jinqiu's first name. And the last name is Zhu?

DR. ZHU: Zhu.

DR. MARKS: Zhu. So, I could say Dr. Zhu. That actually is easier in some way. But at any rate, thanks for your memo dated May 23rd. We had the latest draft. Particularly, regarding breast cancer incidences and the evaluations from Dr. Naldi.

And one of my comments, I guess, I would make, right off the bat; and then I'll ask Ron, Ron and Tom, is Dr. Naldi -- if I recall correctly, he's the head of dermatology at Vicenza. Is that correct? University of Vicenza?

DR. ZHU: He's also an epidemiologist.

DR. MARKS: Yeah, okay. I figured that. Well, not figured, I assumed, that had to be, that he was being used as an expert in epidemiology. But probably some way that should be captured. Obviously, now it's captured in the minutes.

I expected that would be the case, but I was a little bit interested. A dermatologist, also an expert in epidemiology. Not exclusive, obviously, but it's not very common in my experience.

DR. HELDRETH: Yeah. Dr. Belsito had recommended him because with his work in epidemiology, he's also helped the RIFM panel as well.

DR. MARKS: RIFM, okay. That makes sense. I didn't know that history. But at least now it's in the minutes. Comments on this? And then there was some -- was it this morning that we had -- yes, this morning we got a memo from Alexandra Kowcz. How do you pronounce her last name?

DR. HELDRETH: Kowcz. Yeah, Kowcz.

DR. MARKS: Codish. Huh?

DR. HELDRETH: Kowcz.

DR. MARKS: Kowcz.

DR. ANSELL: Like the company.

DR. MARKS: Okay.

DR. HILL: Put me in coach.

DR. MARKS: At any rate, there was some comments there dated June the 4TH, so we should note those. Key issues, additional considerations. First, do you want to make any comments, particularly, about -- Dr. Zhu, in reference to the comments from the industry liaison to Bart?

DR. ZHU: You mean my comment on the --

DR. MARKS: Yeah. Do you want to preface anything either --

DR. ZHU: Yeah, I agree.

DR. MARKS: Dr. Naldi and this memo here? You've had a little bit longer time to see it, not much, than we had.

DR. ZHU: Okay. I have the comment.

DR. MARKS: While you're looking at that --

DR. SHANK: Nothing to add.

DR. MARKS: Ron Shank, nothing to add, okay. You like it.

DR. SHANK: Yes.

DR. MARKS: Okay.

DR. SHANK: Very clear.

DR. MARKS: Tom?

DR. SLAGA: Same here. I didn't have no problem with it. Very clear.

DR. MARKS: Good. Okay. Did you look at the memo?

DR. SLAGA: I left mine in the other room, I think.

DR. MARKS: We'll take a minute and let -- Tom, for you to look at the memo. And I see that both Rons are reading over the memo also.

DR. WYATT: Is there an extra copy of the memo from Alexandra?

DR. MARKS: Pardon?

DR. WYATT: Is there an extra copy of the memo from Alexandra?

DR. MARKS: I just gave mine. A minute ago, I would have said, yes. But -- do you have an extra copy of the memo?

DR. ZHU: Yes. I have it.

DR. MARKS: Could you give me a copy or give this gentleman a copy.

DR. ZHU: A copy? I just have it on the computer.

DR. WYATT: My name is Mr. Wyatt; I'm with the FDA.

DR. MARKS: Okay.

DR. HILL: Should I go check out with Carla and see if there's one out there? An extra? There usually --

DR. MARKS: Well, if you've read it, maybe you could loan it.

DR. HILL: He's got an electronic, doesn't he? I thought that's what he was saying.

DR. HELDRETH: We don't have any extras. We got these this morning too.

DR. MARKS: Oh, you got it this morning too. Okay, so that is real time.

DR. WYATT: Understood, thank you.

DR. MARKS: Would Carla have it? Carla wouldn't have extras. I gave mine to Tom.

DR. ANSELL: Okay, we have one.

DR. MARKS: Do you want to look through it? Did you get to skim it or not?

DR. WYATT: I can just --

MS. FIUME: I know it. Yeah.

DR. MARKS: You know it.

DR. WYATT: I could just do the cursory look.

DR. HILL: Because it's not on the website yet, right? You got a phone, you could take a picture of it.

DR. MARKS: Again, Dr. Zhu was -- did you get to read the memo?

DR. ZHU: Yes.

DR. MARKS: Is there any comments the way you're going to change the boilerplate? I guess it's -- I'm not sure. I guess the boilerplate or at least an epidemiology update. Was there anything in the memo that you specifically --

DR. ZHU: The comment on the paper 2017, Dianatinasab paper; so, this comment indicated that the word, you know, risk should not be used. Instead use the association. Actually, the risk word, this word, risk, is used by the author in the paper. So, I just quote that.

But actually, I agree that we can use the word association instead of risk. Because, you know, in this paper there are multiple disparate factors has been compared. I think the -- because some of them shows a positive result; some of them shows a negative result. In our document, I agree that we use association instead of risk.

And I agree, you know, in the Table 1, we should correct that -- that should be prostate cancer instead of breast cancer. And also, I agree that in Dr. Naldi's write-up of the 2015 paper, because this here it indicated that when the odds ratio for more than 19 hair dye episodes used, that information has not been included in our Table 1. We should include that into our Table 1. Yeah.

And also, the comment on Dr. Naldi's write-up of the Mendelsohm 2019 paper; yes, I think Dr. Naldi just did not say clearly here, that -- but that should be corrected in our revised version about the three years use of the hair dye survey; that information can be updated. And several other things, you know --

DR. MARKS: Okay. Tom, any comments? You still like any -- and these changes suggested in the memo, they're fine?

DR. SHANK: Yeah. The editorial changes.

DR. SLAGA: Minor, yeah.

DR. MARKS: Yeah, they're fine. I think the bottom line is when I read -- and that's not yellow, but I want to be sure, Tom, Ron and Ron, you're fine with this. The conclusion is, the CIR expert panel determined that the available hair dye epidemiology data do not provide sufficient evidence for a causal relationship between personal hair dye use and cancer, based on the lack of strength of the associations and inconsistencies of the findings.

In addition, the panel noted there was no consistent pattern of genotype/phenotype influences on hair dye, epidemiology findings. These new studies all support, still, that conclusion.

DR. SLAGA: Yeah.

DR. MARKS: Okay. Because that's the bottom line for this. Okay, any other comments?

DR. SHANK: I don't see how they'll ever show an association between something like hair dye use and adverse health effect.

DR. SLAGA: Yeah, even if it's a specific --

DR. SHANK: You have to do individual -- they're not all the same.

DR. MARKS: Right.

DR. SHANK: And until you do a quantitative study, on particular dyes, you really have a very slim chance of coming up with a significant association. Not that there is or isn't one, it's just there's no power to the analysis.

DR. HELDRETH: I think that's probably what the casual reader wouldn't conclude. They would wonder, okay here's all these studies and we don't think it's a problem. But I think the explanation you just gave would be a great addition, I think, to the document. I think that would make it clearer.

But it's up to you whether or not we should make that kind of addition. Because I think there's a couple instances, throughout the document, where it says further study may be warranted. But as you mentioned, the study's probably not possible.

DR. SHANK: Right.

DR. SLAGA: You'd never have enough with one specific hair dye.

DR. SHANK: Would you be willing to put that kind of statement in the hair dye epidemiology -- what do we call this -- paper? It's up to us?

DR. HELDRETH: It's up to you.

DR. MARKS: Document. That's what the -- Jinqiu? Am I saying that correct?

DR. ZHU: Yes.

DR. MARKS: Jinqiu, that's what he has. Hair dye epidemiology document. So, it's a document.

DR. SHANK: Document.

DR. MARKS: So, it's already now in the minutes for public consumption. It's not a matter of -- the question is, do you think it should be explicitly put in this document? That's very interesting. And would that help guide future epidemiologists in terms of trying to really determine.

DR. SHANK: Well, doing more studies like this, even with genetic markers -- there's one that had interesting genetic markers -- is not going to give you the scientific power to identify which dye.

It's not hair dye use that's going to cause cancer; it's particular hair dye that could. And if you lump them all together, with no quantitation or very little quantitation --

DR. SLAGA: Well, you have the delusion effect of bringing them all together, too.

DR. ANSELL: I don't think we are directing research. I think -- and Linda's clearly the expert here, but I think our process has been to continue to monitor the research and to make it available to you guys. I think your point's well taken, but none of us are actually running a research program.

I don't know how we would even -- what we would do, just send it into the ether, saying we think this would be type of study --

DR. SHANK: We can dictate studies. Every time I read further studies are recommended, I kind of cringe. Because these are extremely expensive studies. Epidemiology is not cheap.

And if you start off, really, with a very poor chance of coming up with a meaningful association, it's money not well spent. But I don't think we can say that in our document.

DR. SLAGA: We can't dictate that.

DR. ANSELL: Nor would you suggest that we stop our monitoring and reporting?

DR. SLAGA: No. No.

DR. SHANK: We should continue to monitor; I did not mean that. But I don't like recommending more studies.

DR. ANSELL: Okay.

DR. MARKS: And I think that's an important point. Because if we recommend more studies, then we should give what we think the studies may be. If I understood what you said, Ron Shank, correctly. If we're going to identify any cancer potential, it needs to be for specific dyes, not in a general --

DR. ANSELL: But we don't say that, do we, in our summaries; that we recommend additional studies?

MS. LORETZ: Oh, no. No.

DR. ANSELL: Our roll, or what we've taken on as our responsibility, is to continually monitor the research as it's being done with all of its bumps and bruises. And just make the panel aware that -- I think there was a specific study, which Don wanted to have an expert look at, and he's provided his comments.

DR. SLAGA: Yeah. I mean, no and that's important in itself.

MS. LORETZ: So, this gets revised then? I mean, and then what happens next? Or is there another comment period? Or how does that work?

DR. HELDRETH: If there's going to be substantive changes to it. If it's something as simple as changing the verbiage or put the relevant study back in the table, where it was before, and nothing's really changing and the conclusion's not changing, the panel can say go ahead with those changes and it's fine. But if you want to add some verbiage that's a substantive change, then, sure, we would want to put it out there for public comment again.

DR. MARKS: I think, addressing Ron, we do say if we use this document as such. If you look on page 9, just as Ron said, it's in the yellow highlighting right above genetic polymorphism.

The last sentence. "While these findings do not represent evidence for the presence of a cause-effect relationship, further investigations may be warranted." And that's exactly what Ron is talking about.

I think, Ron, the question is, do we want to keep that sentence or eliminate that one. Because that's exactly what you were talking about.

DR. SHANK: It's almost the standard statement, more research is needed. Every scientist says that because --

DR. MARKS: That's how they keep busy.

DR. SLAGA: That's how you get money.

DR. SHANK: I had to stop midsentence on that.

DR. MARKS: Yeah, I know. But I'll finish it.

DR. SLAGA: I don't want Ron on my review committee if I submit an epidemiological study on hair dyes.

DR. HILL: Well, but it is a policy question, and this is something off -- it's on the record, but it's off the record. Is do you spend a lot of money on an epidemiology study; or is it better to go at it from the other direction. Okay, we have this mechanism, is there any connection to a dye that's being used, potentially.

You know, and to me, you spend the money on the biology, in general, keep the epidemiology cooking maybe; but the only one that I ever saw even a whiff was for about 10,000 professional hair dressers in China. And there wasn't still not statistical power, but a whiff of something that makes some sense. And that was the best I've seen in all of it.

DR. MARKS: So again, just to continue beating this horse, on Page 5, right above lymphoma and leukemia, again, while Tai et al. findings are limited and do not represent evidence for the presence of a cause-effect relationship, further investigations may be warranted.

We're back with ending a lot of these by saying, well it didn't show anything, but do more investigations. I shouldn't say it didn't show anything, it didn't support a causal relationship. Do we want to just eliminate those parts of this document that says further investigation? We know they're going to be further investigation.

DR. SLAGA: It's followed by maybe, so it's okay.

DR. MARKS: Yeah, maybe.

DR. SHANK: It doesn't say epidemiological investigations. But keep looking for --

DR. MARKS: Further investigations. Yeah, that's true.

DR. SHANK: Keep looking for any risk.

DR. MARKS: Okay. No, I think --

DR. ANSELL: I also think there's a difference between reporting that the author has concluded versus the panel recommends.

DR. MARKS: Right.

DR. ANSELL: And so, this is, well his finding are limited, so who is saying additional data here?

DR. HELDRETH: We are. That was the verbiage -- we were following up with what Dr. Naldi was saying. And so, we characterized it in the way that he had. And he makes those kinds of statements throughout, further should be done.

DR. ANSELL: So, I think we could change that.

DR. MARKS: Well, I don't know that we need to change it, because I think Ron's comment that when you say further investigation, that leave it wide open, not necessarily epidemiologic investigation.

DR. SHANK: That's right.

DR. MARKS: I think I like the way you interpret that. I think leaving it in, from my mind is fine. If that's okay with Ron, Tom and Ron.

DR. SHANK: It is with me.

DR. HILL: It is me, too; because I think investigation means if there really is -- I mean, you make the hypothesis there really is something and then try to figure out if there's mechanism. And of all the things society spends money on, to me, science should be more and other things less. There's never enough science.

DR. MARKS: Okay. We're going to be seconding, probably, I would think, a proposal to post this revised draft hair dye epidemiology document on the website. And we like the way it is, and our minutes will capture the nuances about doing epidemiologic studies on specific dyes, not general dye exposure. And that further investigations covers the waterfront.

DR. HILL: We did see something interesting from a presenter -- not the last meeting, but I think the meeting before -- that looked at differences between light colored hair dyes, certain exposures, versus dark ones. I thought that was an example of, that's interesting now let's see what that means.

DR. MARKS: Okay. Any further comments? Thank you Jinqiu. The J is like a Z? Jinqiu.

DR. ZHU: Yes.

DR. MARKS: Good. You're going to educate me. I apologize for my ignorance.

DR. HELDRETH: He's also told us in house that we can call him James. So, if that's easier.

DR. MARKS: James.

DR. SLAGA: What was that? I didn't hear.

DR. HELDRETH: Oh, he also told us in house, instead, we can just call him James if we want to.

DR. SLAGA: James?

DR. HELDRETH: Yes.

DR. MARKS: I may revert to that in the next meeting if I can't remember. I mean it's just knowing how to -- the J is a Z. Jinqiu.

DR. ZHU: Jinqiu.

DR. MARKS: Jinqiu. Okay. Thank you for tolerating us. Okay, we've got a little less than 15 minutes to go to lunch. We can do the next one. This is straight forward, right?

DR. SHANK: Sure.

DR. MARKS: Yeah, sure is right. Well, it's only one ingredient, correct?

DR. SHANK: Yeah.

Full Panel - June 5, 2018

DR. BELSITO: First of all, we liked the council's suggestion that these boilerplates be referred to as resource documents, going into the future; we like that terminology. In terms of the hair dye resources document, Dr. Naldi did some analysis, particularly on the new information that had come in regarding associations between hair dye use and breast cancer, particularly in African American women, and we appreciate that very much.

There were two additional studies that were available, subsequent to his analysis, that we would request that he relook at. And there was also concern, particularly from the Consumer Federation of America, with his last sentence that says, "While these findings do not represent evidence for the presence of a cause-effect relationship, further investigations may be warranted." And, therefore, I think a request should go back to Dr. Naldi as to clarifying that statement and what kind of investigations would be needed to try and resolve this question.

DR. BERGFELD: I'm sorry to interject, but being in your team meeting yesterday, was there also a suggestion of reformatting this document?

DR. BELSITO: Yes, that has to do with reformatting; the fact that the conclusion is stated up front rather than at the end and having -- we agreed that there can be discussion at each endpoint in terms of the cancers looked at, as to our assessment of the data that has been presented in terms of whether there is risk or not. But then at the end of the document there should be a final conclusion rendered, rather than the conclusion up front.

DR. BERGFELD: Thank you. Any comments. Dr. Marks?

DR. MARKS: Yeah, Ron Shank, I think had a pithy comment yesterday. Rather than me try and paraphrase it, Ron was referring to the epidemiologic studies as I understand. And as long as they're done with multiple dye exposures, it's hard to come to a conclusion. That really needs to be with a specific dye.

And that, actually, we thought that further investigations was not a bad -- maybe clarify it -- but how we interpreted that is it covers all science and all toxicity. So, as we get more mechanistically driven, those would be the studies that probably would help us move forward. But Ron, please clarify what I think I heard you say.

DR. SHANK: You said it right. I think when you do epidemiological studies, such as been done in the past, where you're having a very broad sweep of the cost of agent, hair dyes, that's way to general to give any power to the epidemiological study, to come up with an association. And future studies should focus on particular hair dye. And there're many of them so this is probably going to be very difficult to achieve. So, when we say more studies should be done, I think what we mean, more studies but not just epidemiological studies.

Basically, that was it; that I thought the CIR panel should continue to monitor new information that comes out. But I don't think we should say there should more epidemiological studies, in particular, more investigations.

DR. SNYDER: Can we use language along the effect that these are largely observations, and that the cause and effect remains to be determined? Something along that line, rather than specify studies. We just say that the cause and effect remains to be determined.

DR. SHANK: Yes. Thank you.

DR. BERGFELD: There was some suggestion yesterday that we -- in the formatting of this particular resource document and perhaps the innovation one as well, in some other white hat kinds of statements that we've made -- that we put them together similar to how we put our ingredients reports together. With an abstract, what's been considered, and then the fill-in parts, as well as then a discussion and a conclusion. And I think that would be a better reading document.

Because this one left me particularly cold; what else is new kind of thing. All right. Well I think that we'll move forward with that. We don't need to have any vote on that, do we?

DR. HELDRETH: I don't believe so.

DR. BERGFELD: No, I don't think so.

DR. HELDRETH: Going forward Jinqiu will go through and make these sorts of edits, and reformat the document, and then it will come back to the panel again for finalization.

DR. BERGFELD: I think that you were going to also contact Dr. Naldi, and request what from him?

DR. HELDRETH: From Dr. Naldi we'll be requesting his outlook on what is meant by further investigations; and to have him look at the two studies that were discovered after he did his analysis.

DECEMBER 2018 PANEL MEETING

Dr. Marks Team – December 3, 2018

MS. FIUME: Okay, so the next two require Jinqiu's input because he prepared these two admin documents. So I'm going to go over and see if he is available if that's okay.

DR. MARKS: Sure. Yeah, I agree with you. Hair dye epi and then the aerosols and inhalation are the last two I have. And they're both in the admin section. And then we got, in Wave 3, the letter from the Women's Voices for the aerosols.

DR. ANSELL: That's an interesting reading.

DR. MARKS: And I don't think we got anything on any hair dye in the supplement.

DR. SLAGA: I didn't see anything.

DR. MARKS: Pardon?

DR. SLAGA: I didn't see anything either.

DR. MARKS: Yeah, okay. Let's go in the Admin Tab, and hair epidemiology. Okay, so let's go ahead to the revised draft, hair dye epidemiology document. There's a memo from Jinqiu, data November the 9th, in which he incorporated Dr. Naldi's comment, and they are highlighted in the text. That's Page 59. So, the memo is Page 30. Fifty-nine is the memo under the discussion. A lot of it's highlighted in yellow. Did we get anything more about the hair dye this morning?

MS. FIUME: Yes.

DR. MARKS: I thought there was another document. I don't know what I did with that. Thank you. Tom and Ron and Ron, did you see this? One of the issues the council had is description of "ideal epidemiologic study" in the discussion. And then talks about the discussion is not appropriate because it focuses only on breast cancer. A more general discussion of the epidemiology would be helpful. Did you see this, Tom? Were you able to read this?

DR. SLAGA: I'm looking for it right now. Yeah, I got it.

DR. MARKS: Good. Should we take a minute? Were you able to read it this morning?

DR. SLAGA: No. I didn't.

DR. MARKS: Okay. Well, then why don't we take a minute, because I don't think we can make recommendations without considering this memo.

DR. SHANK: I left them in the other room. That's why I don't have it here.

DR. MARKS: Yeah, okay. And I'll be giving you the next one on the aerosols. Why don't I give you that right now. Because this will be our next one after this.

DR. SHANK: Thank you.

DR. MARKS: You're welcome. Tom, you read it? Ron Hill, you're close?

DR. HILL: I'm essentially at the end.

DR. MARKS: Okay. So, we're on Page 59 in the Admin folder. And the first paragraph talks about linking hair dye use and breast cancer. And the council didn't feel that that focus, perhaps, was appropriate.

DR. SLAGA: I agree. It's too much focused on breast cancer.

DR. MARKS: How would you want to change that for Jinqiu? Obviously, we're going to see another draft of this. This is really important, obviously. Unless it will be a simple --

DR. SLAGA: Their comments are very good about adding, to the discussion, the aspect of the meta studies and all that. It should cover everything the document lays out. The discussion should revolve around that.

DR. MARKS: So are you, Tom, talking about -- again, are we still on the first paragraph in terms of the council suggests that it should be a more general discussion?

DR. SLAGA: Well, it includes everything. All the cancers, some pros and cons about all the cancers, not just breast cancer. They bring out about the meta-analysis and how that should be discussed.

DR. MARKS: So, you would follow the council's recommendations for edits?

DR. SLAGA: Right. Or the committee on hair dye -- the technical committee on hair dye.

DR. MARKS: Okay.

DR. HILL: Can I ask about their first paragraph? Again, I appreciate the fact that if new dye were to come along and it showed mutagenicity, it would be rejected at hand. But we do have legacy dyes that are out there under the Coal Tar exemption that are strongly suspected carcinogens if not known carcinogens.

I mean, over time I'd expect those to disappear because of the increased restrictions of the European market and that people don't like to just market in the US. But it's not accurate to say that we have no hair dyes on the market that are not known carcinogens. That's not correct.

DR. MARKS: Tom, your response?

DR. HILL: I mean, I'm just responding to their commentary as to how you'd interpret that first paragraph in the document that's being finalized.

DR. MARKS: Well, I don't think it's going to be finalized with this rendition. Because the council's suggestions from the hair coloring technical committee are significant. I think we'll need to, Jinqiu, see the next addition. We'll see what the Belsito team feels. But basically, Tom, you would agree take this as the format?

DR. SLAGA: Yeah. No. They have some very good points.

DR. MARKS: Both as far as the focus on breast cancer, it's sort of flipped around. And then the description of the ideal epidemiologic study, "Shows a fundamental misunderstanding around hair dye safety. Individual hair dyes are assessed for mutagenicity and potential carcinogenicity as part of their safety review. And a mutagenic hair dye would not be considered acceptable for use." This is what you're talking about.

DR. HILL: That's what I'm talking about.

DR. SHANK: It has been in the past.

DR. MARKS: Pardon?

DR. SHANK: We have reviewed hair dyes that have been mutagenic, and said that they could be used safely as a hair dye because of the rinse off, low exposure. Do we really want to get into the position of recommending specific parameters for epidemiologic studies?

DR. HILL: I don't think so.

DR. SLAGA: I don't think so either. I don't think that's our charge. I don't think we have the expertise to do that.

DR. ANSELL: It really wasn't the question that we thought we asked either. Did we feel that we had all these epi studies and we wanted someone who could kind of wrap the whole thing up for us?

DR. SHANK: Yes.

DR. ANSELL: And not propose what an ideal study would look like. You know, including a study of 356,590 women. So, I think we certainly agree with this.

DR. SHANK: Okay.

DR. ANSELL: Not in this framework document.

DR. SHANK: I don't think we should go there.

DR. HILL: I have interpreted his commentary to suggest that -- really as suggestions of, here's the problem with the existing studies and were one to construct an ideal study, this is what it would have to look like. But not necessarily that he was making a recommendation that we should say this is what needs to be done.

We did discuss, at least informally at that meeting as I recall, what would you have to do to get epidemiology to mean anything whatsoever. Which is where I made the comment, the only time I've seen even a reasonable whiff was in the occupational study in Chinese hairdressers, where there was a large population. And even there, there was not statistical power enough to detect it. And that was some 10,000 people if I remember right.

I think our whole take on that was, we aren't going to get any firm answer from epidemiology which is definitely nicely written in here. We have to keep paying attention to it for obvious reasons. Everything else in here, though, I agree with. This is a great analysis.

DR. SHANK: If you can't quantitate exposure, you're dead in the water. Sorry, but that's just the case. And you can say dark hair dyes, never used, once in a while used, all this stuff. It's going to get you nowhere. You just don't know what the exposure is.

DR. HILL: My feeling about this sort of thing is the same as my feeling about computer modeling. It's a reasonable hypothesis generator that you get, then used to turn around and say, how do we study this mechanistically? The difficulty there is that analysts are humans. As we improve our abilities to do cell-based studies and try to interpret those, and translate those, we could at least do better and better mechanistic studies. Which in some cases we'll say, no there can't possibly be a connection. Best we can tell within the limits of science.

DR. SHANK: You can't say that.

DR. HILL: No, you never can.

DR. SHANK: That there can't be --

DR. HILL: I didn't state that the way I intended it, but --

DR. MARKS: So, with that in mind, on Page 59, the paragraph which states this is an ideal -- we're suggesting what the ideal epidemiologic study is, you would delete that whole paragraph?

DR. SHANK: Well, I would. Certainly don't call it "the ideal."

DR. SLAGA: Yeah. Nothing's ideal.

DR. MARKS: I hear that. I mean, we could --

DR. SHANK: I would leave it out entirely. I don't think --

DR. MARKS: Yeah. Because it gets back to your point of are we the one recommending what the study should be.

DR. SHANK: Right.

DR. MARKS: Now we could certainly have, in a discussion, about your point, Ron Shank, of a quantitative exposure has got to be crucial. I don't know if we want to --

DR. SHANK: Yeah. I mean, it's half of the equation.

DR. ANSELL: Or the difficulties of doing epi in this arena. But I think the document is intended to be kind of an overview. This was more of a letter -- or a proposal, as opposed to contributing to an overall assessment of hair dyes that we continually work on.

DR. SHANK: I think the way it started out, originally, is this is a summary of the data of epidemiological studies. We have 42 or 57 or 900, these are all of the studies that have been done, a compilation. And the end result is, there is no clear established relationship between hair dye use and cancer in the human population. And if you want to say, yet.

DR. ANSELL: And that's where we struggle, is how this yellow -- the added text contributes anything to that discussion.

DR. SHANK: I think it should just be, this is a boilerplate not a whitepaper on hair epidemiology.

DR. MARKS: Yeah. And as I recall, Ron, exactly what you said. And, periodically, we would update, it with these newer studies, to indicate that the panel had looked at the studies.

DR. SHANK: Right.

DR. MARKS: And evaluated them. So, this whole -- on Page 59 where the council made -- and, Tom, you agree that it should be broader than breast cancer. I'm trying to think, then what would we have under the discussion, just that?

DR. HILL: How about the first two paragraphs with some modifications of the second one.

DR. MARKS: I mean, ultimately the -- let me see. Let me go back here, 59. But it's constructed that we had the discussion, but the point -- and you have, Jinqiu, all these studies you mention ahead, but isn't right in the beginning. Then you go over the various cancers in the boilerplate, prostate, leukemia. The study summary. That was where it was continuously being updated with the new studies. And then background. We don't have a conclusion, do we?

DR. SHANK: No. Well, the conclusion is --

DR. ZHU: Page 60.

DR. ANSELL: Is unchanged.

DR. MARKS: Yeah. The conclusion is on Page 60. The panel determined that the available hair dye epidemiology data do not provide sufficient evidence for a causal relationship between personal hair dye use and cancer.

DR. SHANK: Right.

DR. SLAGA: Right.

DR. MARKS: The associations and other findings are lacking in strength and consistency. In addition, the panel noted there was no consistent pattern of genotype/phenotype influence on hair dye epidemiology findings. That's the conclusion as we have it.

DR. SHANK: Okay, the first half of it is fine. Where is that?

DR. MARKS: Page 60. Right at the top.

DR. SHANK: The conclusion?

DR. MARKS: Yes. I don't know that the last sentence is necessary.

DR. MARKS: Yeah.

DR. HILL: My interpretation of its presence there is just that that was not considered in the previous iteration of this document. And that it was looked at, but maybe that's not the right place to put it.

DR. ANSELL: Right.

DR. HILL: And if you figure out what actually should go in the discussion, maybe that would be in there.

DR. SLAGA: We could just leave that last sentence out. The first sentence is really the important one.

DR. SHANK: Yes.

DR. ANSELL: Yeah.

DR. SLAGA: It summarizes all of the studies.

DR. SHANK: Sorry. I mean, all that work -- a very nice analysis prepared. But for a boilerplate, I think, it's not necessary. And if you want to write a position paper or whitepaper, whatever you want to call it, as a statement of the panel, or a statement of the CIR, or a statement of the council, that's a different document. And then you can get involved in all the different parameters that effect exposure and effect --

MS. FIUME: So, Jinqiu, to clarify, the discussion is for the precedence document that goes on the website. This isn't discussion language in the report document itself, is it? It's the discussion of the precedence paper? Is that correct? And the report will just refer people to the precedence paper?

DR. ZHU: Yeah.

MS. FIUME: Yeah. So that discussion language isn't being proposed for inclusion in the report itself; it's the discussion that goes with this whole precedence document.

DR. MARKS: Oh yeah, we understand that.

MS. FIUME: Oh, okay. I just wanted to make sure, okay.

DR. SHANK: That being the case, then you need to expand it in great detail.

DR. ANSELL: Or delete it all, because it doesn't have anything to do with precedence either.

DR. MARKS: Yeah. So, that was my next question is, do we even need a discussion? Because we're updating every -- with the studies. Now, Jinqiu, all the discussion on the studies, preceding the discussion, they are repeated in the table below, correct?

DR. ZHU: Yeah.

DR. MARKS: Yeah. Okay. So, what you do in the text portion of it, you're just expanding the table. I mean, none of the questions would be --

DR. ZHU: Yeah.

DR. MARKS: Do you need -- again as, I guess, a position paper -- do you need more than the conclusion and the table in the references?

DR. SHANK: I think we're talking about two very different things.

DR. MARKS: A boilerplate, I'm sorry.

DR. SHANK: The table is great.

DR. MARKS: Yeah. I agree. What I'm wondering is -- the table's redundant to what's in the text prior to the discussion, do we really need the text?

DR. ANSELL: In this, I think, the background, on PDF 53, the following provides a brief summary of what's come out since the last time we did this.

DR. MARKS: Right.

DR. ANSELL: And then to the extent that it doesn't change any of your conclusions --

DR. MARKS: So, you're fine leaving the text in and then having the table, which is a summary of it.

DR. SLAGA: Yeah.

DR. MARKS: Okay.

DR. ANSELL: Striking all of the --

DR. MARKS: Discussion?

DR. ANSELL: Yeah.

DR. HILL: What don't you like about the first two paragraphs of the proposed discussion, which kind of recaps and distills concisely?

DR. SHANK: To narrow.

DR. HILL: To narrow?

DR. SHANK: Yes.

DR. MARKS: Yeah. It starts right off the bat by saying -- linking hair dye use and breast cancer is limited. I mean, it's really between hair dye use and cancer, period.

DR. SLAGA: Right, period.

DR. HILL: So what if you take out that first sentence and start reading from two systematic reviews, three case-control studies and one cohort study?

DR. ANSELL: Because there's more than that.

DR. MARKS: "All published since 2004 were evaluated for --"

DR. ANSELL: Yeah. I mean, then you leave out anything that isn't involved with the breast. That statement is specific to breast cancer.

DR. SHANK: What we've done is just review all of the studies that are out there, published mostly. That's it. And we can't conclude a cause and effect relationship. All of this other stuff is unnecessary.

DR. MARKS: Yeah. So it would be a very short discussion.

DR. ANSELL: Yeah. Because the purpose, as stated in the introduction, is we did this last in 2010, and here's what's been published since that time. And looking at bladder and prostate and leukemia --

DR. SLAGA: Lymphomas.

DR. ANSELL: Lipomas, breast cancers. And then we determined that the available evidence do not provide sufficient evidence for causal relationship. I think that's a --

DR. SHANK: That's where I'd go.

DR. MARKS: Which is essentially what we say in the conclusion.

DR. SHANK: Yes.

DR. MARKS: Do we need a discussion?

DR. SHANK: No.

DR. MARKS: Is it important, all the references we have there's not, Jinqiu -- the reference to the external expert, in the epidemiology field, is that important to capture? Because that wouldn't be in the table, is it?

DR. SHANK: That would not be in the table.

DR. ANSELL: Because he didn't actually do one.

DR. MARKS: No. So, I mean, is it important to reference that we had an external, or that will just appear in the minutes of our meetings. And that we did look at Naldi's review. Again, I only raise this because this will be the boilerplate, which now will be in place for probably another decade. And is it important to capture that we --

DR. ANSELL: But he didn't do --

DR. MARKS: No. He didn't do any of these, he just reviewed things.

DR. ANSELL: Well, he's proposing what an ideal study for breast cancer might look like.

DR. MARKS: Oh yeah. Exactly. Which we're deleting.

DR. ANSELL: But what we wanted him to do, I think, was to take a look at all the new stuff, as an epi expert, and see whether it changed our 2010 conclusion.

DR. MARKS: Right.

DR. ANSELL: And what he did, was come back and said well, no; but if we wanted to know a real answer, here's what he would propose.

DR. MARKS: Yes.

DR. ANSELL: So, I don't see it contributing to this. I don't think we should lose it. If anyone wants to fund the 400,000 women study, I'm sure he'd be happy to --

DR. SHANK: For each hair dye?

DR. ANSELL: And only breast cancer.

DR. SHANK: And only breast cancer?

DR. ANSELL: Yeah. So, we'll have one ready.

DR. MARKS: So, my sense is we just delete the entire discussion; and that addresses the issues that the council brought up?

DR. SHANK: That's where I'd go. In the beginning we say how we reviewed all these studies, summarized in table one.

DR. ANSELL: Yeah. You could add to the conclusion that we reviewed all the studies above.

DR. MARKS: Yeah, I'm not sure it's worth it, because the conclusion covers that.

DR. ANSELL: Yeah.

DR. SHANK: Yeah.

DR. MARKS: We'll see how it goes tomorrow, but I'm going to put delete discussion.

DR. SHANK: Maybe I'll have to leave early.

DR. MARKS: Well, no. It'll be done in a professional way. And we'll see what the Belsito team feels. I mean, they may want to expand. Delete discussion. Okay.

DR. SHANK: Great.

DR. MARKS: That addresses the issue of broader than breast cancer. And it addresses, also, the ideal study issue. And we'll see.

DR. SLAGA: Sounds good.

DR. MARKS: Any other comments? Thanks.

DR. HILL: I'm actually shutting my mouth and rereading all of this, so that I knew exactly what it said. I now concur.

DR. MARKS: Okay. Next is the aerosols and inhalation. And I'll be recommending that and I think that's our last one. So, with that in mind if we just delete the discussion, we're at the final boilerplate. Because there's no need to reread. Other than we're going to delete the discussion, we're modifying the conclusion. Because we're deleting that sentence in the conclusion, Ron Shank, you had asked.

DR. SHANK: When did we start using precedent documents? After?

MS. FIUME: A while.

DR. SHANK: Really?

MS. FIUME: They're on our website that way.

DR. SHANK: As precedent documents?

MS. FIUME: Because the term boilerplate and framework didn't fit; because it's not a boilerplate, it's a resource document, actually, is what it's called.

DR. SHANK: Yes.

MS. FIUME: It's a resource document. And so, the discussion refers to the resource document link. Where everyone can go and see why we say what we say about hair dyes, or inhalation information.

DR. SHANK: Okay. And now that's called a precedent?

MS. FIUME: Resource document.

DR. SHANK: Resource?

MS. FIUME: Yes. It's probably been at least four to five years.

DR. SHANK: I'm a slow learner. Okay.

DR. ANSELL: Well, this is significantly different than the resource document for pesticides and natural products. So, I could see why this would not pop out, instantly, as the type of thing we've been doing for a long time.

DR. HILL: Well, in part because we review a hair dye once a year. All though, of course, I don't come to a couple meetings.

DR. MARKS: Okay. So, for the hair dye epidemiology, I'm going to propose, tomorrow, after the Belsito team has commented, that indeed we did take into consideration the technical committee's recommendations for editing the discussion. And we felt the best way to edit the discussion is to delete it. And then we also wanted to delete a sentence in the conclusion on Page 60, and I'll read that. That's the second one, second sentence. So, I'll read that tomorrow. That's Page 60. Okay.

That was an interesting -- and then the resource document, we have a history of that, but I won't repeat that. I think it is different with the hair epidemiology, because there's so much epidemiology studies that come out. Hot topic, so to speak, so in contrast -- to some of the other. That's why I think it's updated. And I like the way -- the table, I think, it's very good in summarizing it. Go ahead, Ron Hill.

DR. HILL: You said the second sentence, but there are three sentences.

DR. MARKS: Oh, are there? I'm sorry.

DR. HILL: The one with the no consistent pattern of genotype/phenotype influence.

DR. SLAGA: Yeah.

DR. HILL: Is that the one that we're taking out?

DR. MARKS: Yes.

DR. HILL: Okay.

DR. MARKS: The last two sentences. I'm sorry, you're right. We weren't going to do, "The association and other findings are lacking in strength and consistency." Were we going to delete that? And just leave the first sentence, "The CIR expert panel determined that the available hair dye epidemiology data do not provide sufficient evidence for a causal relationship between personal hair dye use and cancer." And that's it. Yeah, thanks for clarifying it. It's the last two sentences.

DR. HILL: Because tomorrow you'll be going fast and --

DR. MARKS: I won't be going fast. I would hope tomorrow you would say the same thing.

DR. HILL: You'll be reading your notes and then create confusion.

DR. MARKS: Yeah, you got that right. I will definitely be reading my notes. Delete two sentences.

MS. FIUME: And can I just clarify. I think someone had said those were new sentences. At least that last sentence. That's been there since 2014.

DR. HILL: The genotype/phenotype was in there?

MS. FIUME: Yes.

DR. HILL: Okay, it's not new.

MS. FIUME: Just so you know, it's not a new sentence.

DR. HILL: That was me. Okay.

DR. MARKS: Okay, thanks. That's what we'll recommend tomorrow. I like it cleaner with just the one sentence. Okay, any other comments? If not we'll move on to the aerosols and inhalation.

Dr. Belsito Team - December 3, 2018

DR. BELSITO: So, hair dye epidemiology is next. That's in the admin book. And then we got another handout this morning, is that correct?

DR. LIEBLER: It starts on page 30. It's the memo.

DR. BELSITO: I thought it was good. I really didn't have any comments. It looks like the council had some. Description of the ideal epidemiologic study in discussion section includes recording use concentration of all -- I did not have any comments, the council had some. And maybe you want to comment on your comments, rather than my reading them?

DR. BERGFELD: Well, there's a lot of them.

DR. EISENMANN: The comments are coming from the Hair Coloring Technical Committee. They reviewed it. And they're a little concerned about Dr. Naldi's comment, that before doing the epidemiology you should look at what components are carcinogenic and mutagenic. The industry does not put carcinogenic or mutagenic hair dyes into hair dyes.

There was an agreement, way back in 2003, in Europe to do a certain standard set of mutagenicity studies on hair dyes, which are being done now. And US hair dyes are very similar to what are used in the European market.

The other concern is with the discussion. It's more or less the discussion focuses just on breast cancer, and we don't think that that should be the focus. In general, for epidemiology studies, fairly common exposures and fairly common cancers will come up with an association. Another evidence is that you have a positive prostate cancer study, and you don't mention that at all either, in the discussion.

We want to be clear that epidemiology is one tool, and that you also have to look at the genotoxicity potential of the hair dyes and exposure. And whether or not the study suggested, by Dr. Naldi, should even be mentioned, is also a concern because it's such a large -- yes, and nice power calculation on how many people you would need to show an association, but it's pretty unrealistic. No one is ever going to do such a large study like that.

And then if you leave it in the document -- I'm not sure if it came through an email, or how you go the information from Dr. Naldi, but I didn't see it other than cited in the report. So, everything needs to be publically available. So, the email has to be put out somewhere publicly rather than just to cite.

DR. ZHU: But that's like a short email. Dr. Naldi refers to new, published meta-analysis paper. So all the future investigations, all the studies, are coming from that paper, which it was cited in there.

DR. EISENMANN: Okay. Well, that wasn't clear from --

DR. ZHU: It was cited in the document. It was cited in the paper.

DR. EISENMANN: It sounded like it was coming from Dr. Naldi.

DR. LIEBLER: That's the impression I got, too.

DR. ZHU: Oh, okay.

DR. LIEBLER: Even though you did have a citation in there. The way the introduction memo was developed, from you describing the response, it sounded like it was just -- you know, you asked him, what should an idea study be like.

DR. ZHU: So you mean we need to mention this information directly --

DR. LIEBLER: I'm not sure this even belongs in this discussion.

DR. BERGFELD: I don't think so either.

DR. KLAASSEN: Yeah. I didn't think so either.

DR. BERGFELD: I think it needs to come out. That's an academic discussion.

DR. LIEBLER: Right. For all the things that Carol says, the punch line is this really needs to go.

DR. ZHU: So, one question. Do we need to maybe discuss the different types of cancer individually? Because we have already concluded, in the conclusion section, there is no kind of relationship between hair dye user and cancer. Because for some specific type of cancer, we only have one or two studies. In that case, do we still need to discuss that specific type of cancer?

DR. EISENMANN: My feeling is that you wouldn't have to discuss each and every one. But some more general things about epidemiology.

DR. ZHU: But in the council's memo, it indicates that the discussion should clearly state that epidemiology will never prove that hair dyes do not cause cancer.

DR. EISENMANN: Right.

DR. ZHU: Do we need to include that information?

MR. GREMILLION: Dr. Naldi was brought on in response to the study showing a correlation with breast cancer specifically. Is that -

DR. LIEBLER: He was brought in to provide an epidemiologist perspective on the inconsistent body of data, with respect to breast cancer incidence and hair dye use epidemiology. So, there are some studies that indicate an association and other studies that indicate no association.

MR. GREMILLION: Yeah. I guess my point was, his comments directly address breast cancer. And so, it seems fitting that the discussion would focus on breast cancer a little bit and not --

DR. LIEBLER: Well, the discussion could certainly address breast cancer. And there's more epi data on breast cancer in hair dyes, and I think any of the other cancers.

DR. BELSITO: Bladder cancer.

DR. EISENMANN: Well, it should also be noted this doesn't contain all of the epidemiology. This is just still some of it. But there's some earlier studies, still, I believe, that are not here. The focus of this has always been since the IARC review. You picked up a few of the older studies now I believe. But I still don't think it's completely comprehensive.

DR. LIEBLER: I think our feeling is that the third paragraph of the discussion, it has the description for what would be the ideal epi study for breast cancer. It doesn't really belong here. Because we're not prescribing any other epi, basically. I think it's better for us to say that epidemiologic studies will continue, and that the panel will monitor them and continue to include them in our safety assessment.

DR. BELSITO: Yeah. But I think the discussion goes off base, because breast cancer is only one of the endpoints that we looked at. And it's the only thing in the discussion, number one. And number two, do we need a discussion at all? I mean, we've looked at all the

data. And there's sort of the discussion as part of the data we look at. And then it's just a conclusion; as we state, the data do not support it. I mean, why do we need a discussion at all for this statement?

DR. SNYDER: So I wanted to eliminate almost all of the discussion. And only just state -- this is our discussion. It's not Dr. Naldi's, it's our discussion. And so, I thought we should start off by saying that we continue to do our due diligence, periodically reviewing the literature. And then these studies came up. We reviewed these studies. We had an expert look at them, and advise us if there was any issues. And they are problematic as all the previous epidemiologic studies are, with compounding factors, and other issues related to phenotype, genotype, and all the things we discussed before. But that can be very general or broad statements.

And then I thought we needed to revise the conclusion because, I think, the conclusion we can't use vague terminology. So, I mean, that we reviewed the currently available hair dye epidemiology, and they do not provide sufficient evidence for association.

And then we can't say, "and other findings." That's too vague a language. I think we should use some specific language. Like what are the other findings, like genotype, phenotype; which, I think, is what you're meaning in the second sentence. So you could actually bring that into the conclusion, first sentence. And just have one succinct statement saying that, again, "we review the current literature. Their association are weak at best."

I wouldn't put even that. And I wouldn't put anything in there about the ideal epidemiologic study, because that's our discussion, we're not qualified to put that out there. And I don't think that we want to promote other studies to be done. We're evaluating the literature as it becomes available, periodically.

DR. BELSITO: I didn't think we needed the discussion, or the second sentence of the conclusion. Because the second sentence of the conclusion is basically the first sentence, that the data do not provide sufficient evidence. And that the panel noted there are no consistent pattern of genotype/phenotype influence, period.

MR. GREMILLION: I guess I'm surprised because I felt like the discussion was meant to underscore some of the uncertainty. I was going to push back, looking back at Dr. Naldi's comments. There's this line in the second paragraph of the discussion, "based on the available human evidence, personal use of hair dyes is unlikely to be an important risk factor for breast cancer." And then it goes on.

And Dr. Naldi's comments, he follows that up with, "however, of particular concern are two recent studies pointing to an increase risk in different ethnic groups and populations." I think that's helpful to underscore some of the uncertainty out there; and to highlight at least, in those studies, there was this association found.

DR. BELSITO: But if you're going to do that, we're focusing on breast cancer. The one cancer that's been somewhat more linked, in terms of hairdressers, is bladder cancer, and we're not discussing that at all. We basically would have to reiterate everything we've already said, pointing out that non-Hodgkin's lymphoma, bladder cancer, breast cancer, glioma, da-da-da, da-da-da, da-da-da.

For some reason Dr. Naldi elected to focus only on breast cancer, which really comes out of a single paper that looked at African American women having higher rates of breast cancer in association with hair dye. For which there was very little data as to the hair dye. And the thought, I think, there was obviously this is a dark color hair, this is para-phenylenediamine or whatever.

And people have always looked at hair color, dark or light. But I just don't think that a position paper like this, we basically -- the discussion is contained in each of the different cancer endpoints we looked at. And so, we've looked at each of the cancer endpoints. We've had the discussion in there.

And then all we need is a conclusion from that, is when you look across all of the different types of cancer endpoints, from bladder, to prostate, to glioma, da-da-da, the data is insufficient. There does not seem to be an association with any of these. That's our conclusion.

DR. GREMILLION: His comments talk about different ethnic groups and populations, African Americans, white American women, Finnish women. So, he's referring to more than just one study of African American women.

DR. BERGFELD: Can I ask a question? Is it your inference, Carol, that we should go back and collect those old reviews and put them in here? Because you said we didn't have them.

DR. EISENMANN: No. I don't think it's necessary. You're relying on the IARC review for the older studies, which I think is appropriate. I'm not sure that you would want to go back and look at all the older studies. You're looking from the IARC on. Except for, I think, we went back a little bit for the breast cancer studies. That was the intent from IARC on.

DR. BERGFELD: Do you have anywhere we say that?

DR. EISENMANN: Yeah. It says it in the introduction, I believe.

DR. KLAASSEN: I can look. On page 53.

DR. LIEBLER: I think I support deleting the discussion; trimming the second sentence out of the conclusion, and finalizing the document.

DR. BERGFELD: As a 2018 document?

DR. LIEBLER: Yes.

DR. BERGFELD: And anywhere do we have that date into this document? Is it going to be led by 12/2018 on the source document, the title?

MS. FIUME: Yes. It's on the front page of it. PDF Page 52 is the front page for the resource document; and that will have the date on it there.

DR. BELSITO: So, Dan, what are you telling me about what you want to do with the discussion? Where are you saying that -- in the introduction, that this was to be a focus on breast cancer?

DR. LIEBLER: No. No. I was referring to PDF Page 59, which is all yellow-highlighted discussion; which included that description of a putative ideal epi study for breast cancer. That entire discussion's about breast cancer.

DR. BELSITO: Right.

DR. LIEBLER: And I think that's inappropriate in this document.

DR. BELSITO: That it's what?

DR. LIEBLER: That it's inappropriate in this document.

DR. BELSITO: Yeah. Well, that's what I was saying.

DR. LIEBLER: So, I'm basically agreeing with you. I was sort of seconding what I took to be your suggestion of what to do with this. Is that we take out the discussion and we take out the second sentence of the conclusion?

DR. BELSITO: Yeah. And just in the conclusion say that we will continue to monitor data.

DR. LIEBLER: Correct.

DR. BELSITO: I was the one who recommended Luigi. I forget why Bart sent out an email looking for an epidemiologist. Was it specifically for the breast cancer study? What did he ask Luigi to do?

MS. FIUME: I don't remember. Jinqiu, do you know what was asked?

DR. ZHU: Specifically, for breast cancer. I remember.

DR. LIEBLER: We had the largest body of data, and it was the one where we felt there was inconsistency that needed to be addressed by the panel. And we didn't feel that we were -- amongst the panel, ourselves, we had the right expertise to deal with that question.

DR. BELSITO: And his response was, based on the available data that there did not appear to be a causal relationship. And then he went on to this big thing about, well, to really know you need to do this huge --

DR. SNYDER: We need 356,000 patients, with these criteria, blah, blah, blah.

DR. BELSITO: Right. So, we got his answer; that, at least, based upon the data that exist today, there appears to be no causal relationship.

DR. LIEBLER: Right. I think it was either -- in the previous iteration of this document, because either a comment from somebody on the panel, or possibly from the council, I don't remember which, saying it would be better to say what should be done. Or it would be useful to say what should be done. I don't remember where that came from, but I do remember the request from somebody.

And that led to this discussion, I think, particularly, the third paragraph of this discussion. But basically saying, a huge, super, uber, epi study is not going to -- first of all, it won't ever happen. And even if it did, it probably won't answer the question beyond any possible doubt.

MR. GREMILLION: I guess just reading Dr. Naldi's study, and the concise summary statements there he has -- the first sentence, "the available evidence linking hair dye use and breast cancer is limited, but warrants further investigation." I remember in a previous meeting we had, the available -- just the first clause of that sentence.

And I feel like it's a similar -- even though they're set out in two sentences, it's a similar kind of package of statement. Saying, based on the evidence, it's unlikely to be an important risk factor; however, of particular concern are these studies. And then he concludes with the need for a systematic review.

DR. SNYDER: I took that in the context of his expertise as an epidemiologist. This is our document. And so, in the context of the data that we review, we have not been provided any substantive data to make us be concerned. And we don't really want to be -- we want to be cautious about promoting studies, because then somebody says, well, what kind of study? And we're not qualified to do that. And so, again, I think that's what we're trying to do.

MR. GREMILLION: It is. And honestly, if the discussion section gets deleted altogether, it's a moot point. But my objection was just having that, based on the available with human evidence sentence, without the following sentence, that seems to hedge it a little bit.

DR. SNYDER: I think that's what Don was saying in the conclusion we have, that to date there's no human data to support a cause and effect relationship.

MR. GREMILLION: Yeah. I mean, specifically in the discussion he's cited for that first line. But yeah, I understand you have your own analysis and you're going to present it.

DR. BELSITO: But I mean, he takes and he says, okay, the ideal epidemiologic study to evaluate breast cancer. Then we should say, the ideal epidemiologic study to evaluate lymphoma. The ideal epidemiologic study to evaluate bladder cancer. The ideal epidemiologic study to evaluate glioma. We could go on and on and on.

And basically, I think that our point is, we've looked at all the studies, there doesn't seem to be a causal relationship; but, we will continue to monitor everything that comes out in the literature. That's all we can do.

MR. GREMILLION: I don't want to say a description of the needed study needs to be in there. I'm not familiar enough with these reports. But I do think that there's a basis for singling out breast cancer, based on the same factors that led you to get Dr. Naldi in the first place.

DR. BELSITO: Again, I think if we're going to single out a cancer, we'd single out bladder cancer.

MR. GREMILLION: The studies on that are for the workers.

DR. BELSITO: The studies for users do not show a causal relationship. But there is suggestoid (phonetic) evidence for hairdressers.

DR. SNYDER: Certain genotypes.

DR. BELSITO: Certain genotypes for hairdressers. And there's also the confusion with a confounder of smoking, which is also known to be carcinogenic. And the same thing came up in the textile industry when it was in the US. Textile dye workers, and smokers, and bladder cancer in that industry. So there's suggestoid evidence for those groups.

So, if there's any cancer that seems like it could be related to dye, it would be bladder cancer, more than breast, or lymphoma, or prostate. Because you never really saw prostate cancers coming up in the textile workers, who were largely men.

I just think that by putting this discussion in at all, I mean you just have to go on, and on, and on to look at all the cancer types.

DR. SNYDER: Well, I think you said, earlier, that this discussion, much of it could go under the breast cancer, dealing with that new study that we raise our first concern. So, it's not like we're going to throw it all out. It's just that it's not appropriate in a discussion for an overarching document to just focus on one cancer.

I think we can take some of that language and move it under the breast cancer, particularly, in relationship to the interpretation of that study that showed something that we were not comfortable with interpreting. And that's why we had the expert come in.

DR. BELSITO: Actually, that's a good point. I mean, this goes under the breast cancer part, not a discussion for the entire document. And can be condensed a little bit.

MS. FIUME: I think Jinqiu can take the suggestions given and then rework the document a little bit. And remove the discussion and make it what you want it to be.

DR. ZHU: Yes.

DR. BELSITO: I don't think there is a discussion to this document. The discussions occur in each of the sections of cancer.

MS. FIUME: Right. Move it from the discussion into the cancer section. Because in the past versions, whatever we've included in the report, we've never specified a specific cancer. It was just hair dye and cancer. It was never any type of specific cancer mentioned. In the wordings of our reports, we always refer to our resource document, which we will do as normal. But we've never specified any type of specific cancer, in either the summary or the discussion sections.

DR. SNYDER: My only comment was that I thought it was a good mechanism to make the reader aware. They don't have to read through all of the cancer publications to see where we're at, as far as how current are we.

So, I thought it was okay to have a brief discussion that said we've identified -- since 2014, the last time it was reviewed, we've identified these five additional studies, they were considered, and just leave it at that. And the conclusion is still what it is.

I just thought that was maybe a good way to let the reader know what we looked at and some interested party could say, well, you missed this study or something.

DR. BELSITO: Okay. So this will come back to us once again?

MS. FIUME: Yes. For a final, final look.

DR. BELSITO: Okay.

DR. BERGFELD: Will it go out for comment again?

MS. FIUME: Did it go out for comment last time, Jinqiu, or are we waiting until we get it all finalized? I guess it did go to comment because it's a public document. I forget how it worked. I'll check and see what we did and we'll follow the same protocol.

DR. BERGFELD: Yeah. I think it goes for comment.

Full Panel - December 4, 2018

DR. BELSITO: It was my request that we update this, simply, because we hadn't updated it for a while and there was new information out. I think the particularly more disturbing one was the apparent higher rates of breast cancer in African-American women, using hair dyes, versus non-African American.

We had asked Dr. Luigi Naldi, from Bergamo, Italy, to look at that data. Overall, he did. And he found that the available evidence, linking hair dye use and breast cancer, was limited; although, he did feel that required further investigation. At this point, he said, based upon the available evidence, he was not seeing a link.

Our only concern was that his report ended up in the discussion, as a full report, dealing only with breast cancer, and that's not the only cancer we're concerned about. We had recommended that that entire highlighted area in the discussion be deleted, and just sort of summarize; that based upon the available evidence, there is no apparent causal link between consumer hair dye use and carcinogenic endpoints of any kind.

DR. BERGFELD: Any comment?

DR. MARKS: Yeah, we agree, wholeheartedly, with what you suggested, Don. Let's go to Page 60 of the document. Because the other thing that we felt, that the conclusion on Page 60, that the last two sentences on the conclusion were not necessary. So, our conclusion would be just, that the epidemiology data do not provide sufficient evidence for causal relationship between personal hair dye use and cancer. And delete the second two sentences. We felt that they didn't add anything and, in fact, they may confuse the issue.

DR. BELSITO: I don't have a problem with that, team? Okay. The only other thing to mention, in the discussion is, obviously, that we will continue to monitor this. And as new studies come out, we will look at them and reevaluate our conclusions.

DR. BERGFELD: The question I had of Bart is, if the team members on both sides or the whole panel are agreeable to these changes, that have been made, is this document ready to be posted? Or do we want to look at it one more time in April? This should be on a calendar review, at least every two years; and, obviously, if a report comes up, we should look at it right away. So, what is your suggestion?

DR. BELSITO: I think the changes we're asking for are so minimal, that this can go out as a final.

DR. BERGFELD: Okay. Jim.

DR. MARKS: I would second that. And, I guess, one of the reasons we deleted the discussion was because it wasn't broad enough and such. If we're reviewing new epidemiologic papers, or studies, as they occur, that do we really need the review -- that should be added to the document, ongoing, I would think, just like both in the text and in the table. And for us, every two years, to go back and look at this unless something really changes our conclusion. I don't know if two years is right or five years. Two years might be too often, but I don't know.

DR. BELSITO: I think we have a group of experts in various areas that will be picking up data. And if there's a substantive paper, that comes out, we should not wait two or five years. It should be brought to the attention of the panel. And then the decision can be made, based upon that, whether we need to update the document, or it can be held. But I don't think we should set timelines for this; because this is a very critical, topical, issue that needs to be monitored.

DR. MARKS: I agree with that approach, Don. I was just thinking you go from the beginning to the end with the document. I think that will occur naturally, as the new studies come in; and then they just get added to the document.

DR. BERGFELD: Well, one of the problems that we've had, historically, is that unless there is a big article or some publication that brings it to light that we need to look at, this particular document gets buried a little bit because of the workload internally. So, at least, if it's on the calendar, someone will take a look, quickly, at what's out there, so that we don't miss anything. That's something that can be decided internally how they're going to do that.

I'd like a consensus show of how you'd like to deal with this. And what I've heard is that it's ready to go and be posted. Is that correct?

DR. MARKS: That's correct.

DR. BERGFELD: All right. So, we'll do that. So, this will not appear in April. The next one is aerosols in inhalation. Dr. Marks giving his opinion and his team's opinion.

MARCH 2021 PANEL MEETING

Dr. Belsito Team - March 11, 2021

DR. BELSITO: So hair dye epidemiology, that's in admin. Okay. Does anyone know what page this is on? Because I'm going through -- oh. Here we go.

DR. SNYDER: 52.

DR. BELSITO: Okay. Thank you. I should have written it down because you put in hair dye, and there's a ton of stuff. Okay.

MR. ZHU: Good afternoon. Jinqiu is here.

DR. BELSITO: Hi. How are you?

MR. ZHU: Thank you. Great. Thank you. How are you?

DR. BELSITO: Good, thanks. I thought it was fine. I didn't have any comments on it. I mean, I did like Wave 3, the Council response. I don't know who put together that re-analysis of the incidence in African American women. But I thought that was very helpful and maybe should be brought into this report. Did everyone see that?

DR. SNYDER: Yes.

DR. SNYDER: Yes.

DR. LIEBLER: Yes. I did. I agree. I thought -- I agree.

DR. BELSITO: So that would be the only thing. I would bring in that analysis about the -- particularly given the years of association, which usually don't make sense because almost all the other endpoints where there seems to be an association were pre-1980. And then I also liked the idea about the births and children. Where it says parents, that really should be mother, right? I would think. But otherwise, I thought it was fine. Any other comments?

DR. SNYDER: I just had a couple small issues. On page 55, the third paragraph on this -- this is the Rollison score here. And we don't mention it here, but this is the Rollison score. Yet for every study, we talk about the Rollison score at the end of the study. And we don't mention that this is the system scale used here. So somehow we need to indicate that this is the Rollison score.

MR. ZHU: Sure. Will do.

DR. SNYDER: Do you see where I'm talking about, the third paragraph on page 55 under "Background"?

MR. ZHU: Yes.

DR. SNYDER: And then I would -- this, again, is just a style thing, but I would prefer that each study summary ended with whether or not -- what the bottom line was, whether there was an association or not an association, and not with the Rollison score. I think the Rollison score should be moved up after you go through the criteria for the epidemiologic -- the criteria for the epidemiologic study, then give the Rollison score instead of -- because the Rollison score is just a score on how predictive the data is.

I'd rather end the individual study summaries with the bottom line of whether there was an increased risk or no increased risk. And so they all end with a Rollison score. I don't think we should do that.

MR. ZHU: So you mean remove all the sentence for each summary -- the Rollison score?

DR. SNYDER: No. Keep the Rollison score, just move it up after you talk about the -- like in the first one here, the prospective cohort study.

DR. BELSITO: What page are you on, Paul?

DR. SNYDER: I'm on page 56, the first study there -- the first one that's yellow, the highlighted one. If you look at that first -- that prospective cohort study, at the end it says this hair dye exposure assessment was +3 on the Rollison scale. So I would rather have that be a -- that's part of the methodologies in what the -- the overall conclusion is that there was no association here. So I'd rather have what the main study conclusion was in regards to our issue rather than the Rollison score. We don't make a decision based on the Rollison score as to whether it was relevant or not.

DR. KLAASSEN: So what -- okay. So you take the last sentence and make it what -- the --

DR. BELSITO: First sentence?

DR. KLAASSEN: -- third sentence?

DR. SNYDER: Yeah. After they talk during the 36 years follow up data collected, right before "Overall" it looks like because that ends how they categorize people: non-user, less than 30 years, more than 30 years. And then have the Rollison score, and then the next sentence says "Overall, no association was identified."

MR. ZHU: Okay. So the Rollison score, move this sentence to the "Overall" -- ahead "Overall?"

DR. SNYDER: After the criteria and methodologies used to run the study.

MR. ZHU: Okay.

DR. SNYDER: And that's just my opinion because it looks like we just inserted all these at the end of these studies, and then it's not really -- we don't make the --

DR. BELSITO: So wait a minute, Paul. At which point are you putting that in? After the first sentence or after --

DR. SNYDER: Right before "Overall." Right before "Overall." They said "the data collection... use... detailed in duration" because all that goes into the Rollison score if you go back to the background.

DR. BELSITO: Okay.

DR. SNYDER: You know, assessed ever/never use, assessed type of hair dye, assessed dye type, assessed all four critical aspects. So after that data, then that's when we should give the Rollison score, in my opinion.

DR. BELSITO: So after the third sentence?

DR. SNYDER: Yes. Because for all the studies right now, it's at the end.

DR. KLAASSEN: He's actually saying after the fourth sentence, right before "Overall."

DR. SNYDER: Yes.

MR. HELDRETH: Would you then move the --

DR. BELSITO: Yeah. It would because the fourth sentence.

DR. SNYDER: Yes.

DR. BELSITO: It's after the third sentence. Because that third -- "During 36 years," that whole thing is one sentence.

DR. SNYDER: No. That's two there, "Data collection on permanent."

DR. BELSITO: Oh, yeah. I'm sorry. So it's after the fourth. You're right.

DR. SNYDER: Yeah.

MR. HELDRETH: Would you then move "Overall, no association," et cetera, down to be the last sentence?

DR. SNYDER: I would because that's the money shot. You know what I mean? All the rest of it is just the data, which is good. I think it should -- you know.

DR. BELSITO: Do you want to do this consistently throughout the report?

DR. SNYDER: Yeah. I think each study type we should talk about the cohort, the study, the Rollison score, and then the end of it, the last sentence should be really the money shot in regard to there was no association or whatever, so. Again, and you know, (audio skip).

DR. BELSITO: I like it, Paul. Dan, Curt?

DR. KLAASSEN: Yeah. I also like it. I was concerned about having this Rollison score at the end of all of these paragraphs, and I think it's much better early on.

DR. BELSITO: Right. And then it also makes you -- okay, this is a Rollison score 3. This is a really much better study than what we've seen in other studies, so I'm going to really pay attention to this data.

DR. SNYDER: Yeah. Exactly. Exactly. Because that's what I was using it as. I was like, okay. So how does this one rate in regards to the criteria?

DR. BELSITO: Yeah. I like it. That's great, Paul. Any other comments?

DR. SNYDER: No.

DR. BELSITO: Okay. Curt, Dan?

DR. KLAASSEN: No.

DR. LIEBLER: I'm fine with it, thank you.

DR. BELSITO: Okay. Great. Thanks, Paul. Okay.

Dr. Cohen Team - March 11, 2021

DR. COHEN: Okay. So our last item for the day is the hair dye resource document. What a tour de force that is. It's quite amazing. A lot of data in here. And this is Jinqiu's report, correct?

DR. ZHU: Yes.

DR. COHEN: And by the way, am I pronouncing it correctly -- your name correctly?

DR. ZHU: Perfect.

DR. COHEN: Okay. So we received the resource -- the document with a number of updates, and they were highlighted in yellow. Really, some interesting data. You know, I have some comments, but I want to open it up as well. I guess, just what I'll start off is the 117,000 women in the nurse's health program. They discuss small increase in basal cell carcinomas, and they lacked, "information on skin tone," although they -- what's that?

DR. BERGFELD: Critical.

DR. COHEN: Yeah. But I'll say, you know -- try to argue the flip-side, right? I said the same thing, Wilma. They have childhood reactions to the sun: lifetime blistering, moles, cumulative UV since their baseline. And 97 percent were Caucasians, and 12 percent were blonde or red-headed. And 30 percent were light blonde. So we might make some inferences there. It looked like they were using some modification of, like, last observation carried forward.

But I thought that conclusion was okay and was well-captured in the report here. I have a few others, but why don't we just circle the team for their comments? Tom, do you want to go?

DR. SLAGA: Yeah. Overall, the new data's research has been -- it falls in line with others. The only one that I had an OR very high -- almost 14 -- was women breastfeeding children increased childhood leukemia by that OR, which is pretty high. It's still not a causative, but I'm just pointing it out (audio skip). Hair dye use in women that then breastfeed children gives a fairly high OR.

DR. COHEN: Were they using hair dye at the time of breastfeeding?

DR. SLAGA: Hmm?

DR. COHEN: Were they using hair dye during the nursing period?

DR. SLAGA: It doesn't say for sure. It just said hair dye usage. You know, it didn't really say during, but that would even be used. Yeah.

DR. COHEN: So any comments about how it's placed in this report? Any concerns?

DR. SLAGA: No. No. The way it's stated in this report is fine, and it points it out. It's just a higher number than normal of all these other studies.

DR. COHEN: Got it. Lisa --

DR. SHANK: Okay.

DR. COHEN: Any others, Tom?

DR. SLAGA: No. That was the only one I really honed in on.

DR. SHANK: Go ahead and add the new studies to the document. But I'll have to repeat my comments of earlier. All of these studies lack sufficient exposure data to support the use of any hair dye --

DR. SLAGA: Right.

DR. SHANK: -- causatively related to the adaption of any cancer.

DR. SLAGA: Right. No. No. I didn't say it was causative. It's just the relationship that was a little scary.

DR. SHANK: Well, unless you know the -- if you rely on an old toxicology law, we need a dose response relationship.

DR. SLAGA: I totally agree with you, Ron.

DR. SHANK: And none of these studies give a dose response.

DR. SLAGA: Right.

DR. SHANK: And they pick all different kinds of cancers. So if you go back to the old days when people were trying to establish a link between cigarette smoking and lung cancer, it took a long, long time --

DR. SLAGA: Right.

DR. SHANK: -- to get sufficient data on exposure.

DR. SLAGA: Yeah.

DR. SHANK: And even that, the best exposure data wasn't very good. How many cigarettes do you smoke a day? All cigarettes aren't the same. A lot of fuzziness in that relationship.

But the overwhelming studies did support the same exposure type at least and lung cell cancer. I have a couple other examples. I won't bother with them. I think we can add -- it's a good idea to add all of these studies --

DR. SLAGA: Right.

DR. SHANK: -- to the report because it is building a library. Somebody questioned about expanding the table called Table 1.

DR. COHEN: Yes.

DR. SHANK: I have a very odd suggestion. Maybe we should just eliminate Table 1 for two reasons. One is that the table becomes cumbersome when you have so many studies --

DR. SLAGA: Right.

DR. SHANK: -- and implies equal validity for all of the studies, which is not the case. The other concern about the table is it cannot contain all of the caveats, all of the limitations, et cetera, for all of the studies.

DR. SLAGA: Right.

DR. SHANK: So it makes it very difficult to interpret each -- to evaluate each study. So I don't think the table really helps. And in fact --

DR. SLAGA: I agree with you, Ron.

DR. SHANK: -- it might needs (audio skip).

DR. SLAGA: I think this should be taken out.

DR. SHANK: Sorry, but that's my position.

DR. SLAGA: Yeah. No. I totally agree.

DR. BERGFELD: They have added -- in dermatology at least, what they call the outcome studies, there are methods or ranking them on another column --

DR. SHANK: Yes.

DR. BERGFELD: -- as to the validity of the study. I would find that to be helpful with the listing. I must admit I like the table because it gives me an idea of what's there without all the details. But if I had a ranking scale on the side of it or next to it, that would help me with the interpretation of that and which one I wanted to look at.

DR. COHEN: Yeah. There are standardized rules for developing guidelines of care that rank, you know, placebo-controlled trial, case controlled, cohort studies, and they add an A and a B and a one and -- they rank them, right?

DR. SHANK: I know. I understand. I still don't -- well, it may be helpful to some people, so okay. I think it could be very confusing to most people.

DR. BERGFELD: Or you could add it as an attachment rather than the main document, just as an attachment --

DR. SHANK: Okay.

DR. BERGFELD: -- another way --

DR. SLAGA: That would be good.

DR. BERGFELD: -- of looking at it.

DR. SHANK: That's another way to do it. Good suggestion, Wilma.

MS. FIUME: I do see Thomas has his hand up.

MR. GREMILLION: Thanks, Monice. I had a few questions come up reading this. First, on the study that, Dr. Cohen, you pointed out with the 170,200 women in the Nurse's Health Study, I just wondered -- it was -- looking at the abstract of that study or the writeup of that study, it seemed -- I mean, there were -- it says cumulative dose was positively associated with risk of estrogen receptor negative breast cancer and other breast cancer and ovarian cancer.

And I didn't get that from looking at -- and, you know, some other, you know, results kind of went the other way from the way it's maybe characterized in this. That's on page 56. But I also -- I had more questions about this study on page 6 -- talked about on page 61 with the 46,709 women -- the sister study. And there's just a couple of comments there that didn't seem really supported and kind of threw me.

The first it says, you know, "Limitations of the study design analysis need to be considered before jumping to a general conclusion," in the middle of the paragraph, "one, because women were recruited to the study because they had a sister with breast cancer, and so conclusions can't be extended to the wider population." I mean, for me, I see that, and I think well, you know, when they tested the COVID vaccines, they went to people working in emergency rooms. And, I mean, they weren't representative of the entire population, but we still see things -- the statistics of the vaccines being 95 percent effective or whatever it might be.

And it seems that's a pretty reasonable way to get a more statistically relevant result. And I just -- there's not a citation there. And similarly, this third one, the confounding factors warrant further examination it's the (inaudible) regarding endocrine disrupting chemicals, it seems like that could be applied to any number of reviews conducted by CIR.

And then the one in the middle cites a comment -- It was behind a paywall, so I couldn't see it. So maybe it would be -- you know, just kind of indicates that the study hasn't been adjusted for age, and maybe there could be some elaboration on that. That was a little mysterious to me. So I'll stop there.

DR. PETERSON: So I haven't commented yet, and I just want to follow up now because I think it fits well with some of what Thomas said. So overall, I mean, I think this is a tour de force. There's so much data there. But my overall impression of reading, it felt like there was some biases, and I would like to see some edits that would remove -- just sort of make things more unbiased. And so, like, for example, the language "jumping to the conclusion that," I don't think jumping to the conclusion is appropriate language.

And I thought is Table 1 there because it's just adding the new studies, or is it meant to summarize all the studies in the document? Because it doesn't have all the studies in the document. And so I'm struggling with the value of -- I agree with Ron. I wasn't sure the value of Table 1, but it seems like it was mostly the new studies that were put in.

I felt that all the studies should have the Rollison scale stated for it. There are some new studies, some old studies that have them or don't have them, and I actually think that that's a valuable -- one way that we can actually -- I mean, I think the data are the data, and the data are showing that things are all over the place. But I think the bigger studies are the ones we need to think about being better, but I do think it's important to list them all, that we should have the Rollison scale for all of them, that -- and I would shift the language to being a little less biased because it -- reading it generally I got the impression that all of the positive ones were downplayed and the -- you know, the negative ones which might also have some value -- I mean, have some problems with them also were not -- you weren't -- the negatives of those studies weren't brought out in the same -- kind of the same way.

But I totally agree with everything that Ron and Thomas said. And I think we do need a good characterization of exposure. That hasn't really happened. Some studies start to get at that, but we really need, you know -- there needs to be some stronger assessment of exposure because I think it's going to vary depending on population studied. It may -- risk -- relative risk may vary, you know, on -- there might be -- there seems like there's an -- there could be some issues there that it's because it's associative that it's not showing cause, you know, and it's probably a very complicated relationship between dye exposure and possible cancer risk.

DR. COHEN: Okay. One or two more comments I had. In the WVE study, the meta-analysis between hair dye use and the pathogenesis of non-Hodgkin's lymphoma 2019, you know, I found myself going into the source documents and reading them. I don't think that meta-analysis is a study of pathogenesis. It's a study of associations. I don't view looking at case control studies and cohort studies is getting down to the pathogenesis of any disease. It's --

DR. SLAGA: You're breaking up.

DR. COHEN: I think the WVE study, which is a metaanalysis, is title pathogenesis, and we describe it as pathogenesis. But I don't think it is. I think it's just a study of associations and odd ratios. It's not a study of pathogenesis. And we shouldn't -- I don't think we should recognize that study as a pathogenesis study.

I mean, it's not designed like that. And they have a conclusion in there that people who frequently use hair dyes or have been using hair dyes for more than 20 years should minimize their exposure to hair dye products to prevent the risk of non-Hodgkin's lymphoma with an odds ratio of 1.4. I found that to be a rather hyperbolic conclusion.

And I guess I'm just sort of echoing what has been said before from the Women's Voice for the Earth. They asked for more -- the term of causal relationship, but I have a hard time with inference of causation and what we've been reviewing. I don't know if we can -- we really can't make that. We can't accommodate that request at this point in time knowing what we know.

DR. PETERSON: Association is not causation. And, you know --

DR. SLAGA: That's right.

DR. COHEN: Yeah. Yeah. Okay.

DR. BERGFELD: Well, Monice, can I ask you a question? The various teams are discussing this. Then we're going to discuss it in panel.

MS. FIUME: Mm-hmm.

DR. BERGFELD: What is the hope for this document? What is the intent? We were supposed to be updating it. Are we going to be making suggestions of changing it or reorganizing it or what?

MS. FIUME: That's going to be up to the panel. The CIR staff tries to bring you the update hopefully every two years. Typically, it was an addition of the new studies that were out there, and then the panel determined whether or not they still felt the same conclusion applied. But if you have changes that you would like to see in the document, then we would just need the feedback on that and how you would like us to proceed with the document.

DR. PETERSON: So is this for more our benefit, or is this some kind of position statement? I mean, I guess I was still wondering the use of the document. Is it for our purposes to sort of understand the epidemiology, or is this a sort of position of the panel that gets projected to the outer world or --

MS. FIUME: Both. So this is to help you understand what's out there, and then there's an epidemiology section in each of the hair dye reports that refers to this resource document and then the overall conclusion of the no association. So if there's changes that you think -- if you don't agree with a conclusion or if you want a different presentation to help you understand what's in there better, we can do that. We just need the guidance as to what you would like to see.

DR. COHEN: So the conclusion is that it does not have sufficient evidence for a causal relationship.

MS. FIUME: Correct.

DR. SLAGA: Correct.

DR. COHEN: That's the conclusion.

DR. PETERSON: And that's --

DR. COHEN: And has that changed in our panel?

DR. SLAGA: No.

DR. PETERSON: No.

DR. SHANK: No. Not at all.

DR. PETERSON: No.

DR. BERGFELD: But I can see reorganizing it and --

DR. PETERSON: Yes.

DR. BERGFELD: -- giving it a ranking -- ranking those that are better studies than others under the various topics.

DR. PETERSON: Yeah. Ones we think that are good science versus -- you know, there's a lot of studies of 25, you know, cases, 25 controls. They're so small. You know, the bigger studies have more validity --

DR. SHANK: Validity.

DR. PETERSON: -- because, you know, basically this is a rare event that's happening. And so, you know, you need the bigger studies to really get at what could be going on. And I think these little studies, while it's worth noting that they're there, you know, I'm not sure that they should be placed on equal footing with the studies where the exposures are much better characterized. There's been attempt to do some kind of dose response relationship based on self-report or whatever.

You know, those -- you know, I get that, you know, there's still all this diversity in hair dyes that people could be using. There's a difference in whether you're dyeing dark hair or light hair. I mean, there's all this kind of complexity, which totally challenges this kind of -- to do this kind of epidemiological studies.

DR. SLAGA: None of the studies have any dose response.

DR. PETERSON: But I do think that we could --

DR. SLAGA: -- dose response.

DR. PETERSON: Pardon?

DR. SLAGA: None of the studies --

DR. PETERSON: No.

DR. SLAGA: -- have any dose response.

DR. PETERSON: No. But I do think it's --

DR. SLAGA: There are exposure levels that you can deal with, as Ron emphasized.

DR. PETERSON: Yeah.

DR. COHEN: I think, to Lisa's point though, to be fair is that would be pretty hard to do those studies the way we really want to see them --

DR. SLAGA: Right. They're impossible.

DR. COHEN: -- it'd be very difficult, right? There's one, to that point -- I didn't mention it before, but there's one point in that -- one of those studies that talks about pack years of exposure in the hair dye. I think it was a typo because I couldn't find it anywhere else, but it's in the source document. You know, even for cigarettes you can estimate, you know, exposure based on pack years.

DR. PETERSON: Yeah. And it's --

DR. COHEN: This is much more difficult, and we need to sort of take the breaks off and say this is very hard to assess. Not that --

DR. SLAGA: Right.

DR. COHEN: -- it doesn't have it, so it's no good, right?

DR. SLAGA: Right.

DR. BERGFELD: Well, one of the issues here is that the public consumer is worried, and Thomas, you could respond to that. But this is all over the news every time one of these articles comes out.

DR. SLAGA: Oh, yeah. Yes.

DR. BERGFELD: So we have to show some responsibility to this and response.

DR. SLAGA: Yeah. We have to at least show that we're looking at this data --

DR. BERGFELD: Right.

DR. SLAGA: -- very closely.

MR. GREMILLION: Yeah. I think that's absolutely right, and that's why the tone of this and, you know, some of the -- yeah, like Dr. Peterson mentioned, it's important to get it right.

DR. PETERSON: And I think you just, and in being very open. And I do like the ranking of things. So the, you know, here is all the studies, but we put these higher up because for these reasons. And that's why I felt the Rollison scale was actually helpful because, you know, at minimum, the ones that you really are focused on are the ones that have all four of those components to it because it gets closer at sort of what's being evaluated.

When you're comparing all people with all hair dyes, you know, you're probably going to lose any signal for a subset of hair dyes that are things you need to worry about. I mean, it does seem to me there's a difference between people who dyed their hair 40 years ago versus people who are using hair dyes now, you know -- that the product has become much safer than probably it was.

But I do think if we can -- right now, it's just a list, and I think if there's a way of saying this was a well-conducted study -- these are there, but we discount them because they didn't have exposure. That's why I think the Rollison scale is really -- should be there. And the other thing is that the -- not all of the studies report the number of individuals used for that study, the number of cases and controls or prospective study doesn't have -- the number of individuals isn't consistently listed for all the studies. And you really do weigh a study with 25 way different than some with over 2,000.

DR. BERGFELD: Can we get a look at that scale you're referring to? I have not ever seen --

DR. PETERSON: Well, it's in the paper. I mean, it's in the -- actually, I really like the introduction to the --

DR. COHEN: It's estimate of exposure. Lisa, can you apply that to studies, though, that don't have it listed already? I mean, I don't know how --

DR. PETERSON: Well, no, no, no. You have -- so on the background of the document, he talked -- this scale based on Rollison where it assessed ever versus never used got one plus, assessed -- it's on page --

DR. COHEN: Yeah.

DR. PETERSON: -- 55. You know, this scale is used throughout the document but not applied to every study. And I think it's useful because it talks about, you know, really all critical aspects, hair dye, type, color, duration, frequency of use gets at what we, you know -- the closest to what we want to be asking, right?

DR. ZHU: So one thing I want to point out is that some studies don't have Rollison scale because they are meta-analysis, you know --

DR. PETERSON: Oh. Then we should say -- then it should be clear --

DR. ZHU: Yeah.

DR. PETERSON: -- it's a meta-analysis --

DR. ZHU: Basically --

DR. PETERSON: -- and that may be my -- but then it should say the specifics of the meta-analysis. I'm sorry. I might have missed -

DR. SLAGA: Well, it's the meta-analysis that has importance because it takes -- tries to get small numbers and bring a bunch together so that you're looking at them all one time.

DR. COHEN: Yeah.

DR. SLAGA: It has --

DR. BERGFELD: Merit.

DR. SLAGA: -- important aspects. So I -- the meta-analysis studies --

DR. PETERSON: No. There --

DR. SLAGA: -- should be left in.

DR. PETERSON: Yeah. I agree, but sometimes they then remove all of -- it just becomes ever and never. And so, I mean, it is useful to know how the meta-analysis was done. Did they, you know -- because there are going to be meta-analysis where they just look at ever/never and they're not, you know -- there's no breakdown in dye type. And so those are going to be less useful meta-analyses than a meta-analysis that only works with studies that separate these things out.

DR. ZHU: So when meta-analysis is summarized, I think the first sentence has indicated that this is a meta-analysis.

DR. PETERSON: Okay. It was my misunderstanding, probably. I will re-read it with that in mind.

DR. COHEN: So I think we all feel that the additions were relevant. They belong in there. We discussed the wording, and there's consensus over the conclusion.

DR. SLAGA: Right.

DR. PETERSON: Yeah.

DR. COHEN: Okay. And we could discuss this at the group tomorrow about various ways of improving the document, including, I think, the biggest conclusion is maybe looking at the table and ranking them using standardized methodology that are used in other sort of papers that tend to be more like recommendations or standards of care documents. Does that work?

DR. SLAGA: Yeah.

DR. BERGFELD: Yes.

MS. FIUME: And that's --

DR. SHANK: Who's going to do the ranking?

DR. SLAGA: Yeah. And who -- I was going to say who's going to do the ranking, too?

DR. COHEN: Well, you need an epidemiologist who does this kind of thing.

DR. PETERSON: So I can -- I know a number of cancer epidemiologists if you're looking for somebody with specific background in cancer -- applying epidemiological studies to looking at environmental exposures.

DR. COHEN: Okay. I mean, we could pass that along based on the conclusions tomorrow. But when you search this, you could find it online. It's on the CIR website if you want to find -- anyone can find it.

MS. FIUME: And there is a link in all of our hair dye reports to the website. And so I heard you say Table 1 was just getting very, very long. It also sounds that we may be able to break it down into multiple tables based on the type of information, that would also make it --

DR. BERGFELD: Right.

MS. FIUME: -- more useful to the panel?

DR. BERGFELD: Yeah.

DR. SLAGA: Yeah.

DR. PETERSON: Yeah. Like, it'd be nice to have a -- still wouldn't help me -- but, you know, a list of meta-analyses, you know, a list of case control, a list of prospective studies. You know, just some sort of like that. And that, where the --

DR. COHEN: I like that.

DR. PETERSON: -- you know, having the information of the number of, you know, in the case of the meta-analysis, how many different studies were used and, you know, some specific, important details about how to, you know -- how they decided which ones they decided to pull together for the other studies that would be an n-equals of cases and controls or this number perspective study. I mean, those numbers are really helpful. And then getting at this -- you know this -- I really like the ranking that was done in the background with the Rollison scale.

I think that was very helpful for getting a sense of -- because hair dyes are so -- you know, as diverse as tobacco cigarettes are, there's so many different products, you know. They're basically quite similar, but hair dyes are, you know -- depending on the color, it's going to be all over the map. Right?

DR. COHEN: And they're products that I would consider just clinically -- and I'm sure Wilma will comment. They're a little bit less elective than many other cosmetics, right? They are indeed a cosmetic agent, but I think patients find themselves not in the same level of control of use. It's, like, you're either using them or you're not using them as opposed to saying, "I'll use this cosmetic product around my eyes or on my cheeks than another one."

You know, you're sort of limited by permanent hair dye, semi-permanent hair dye, you know, maybe a leave-in product or nothing. Right? So it's great that we have this document, and I really love that not just this but every item that we talk about is just open for everyone to look at. And there's no veil of secrecy whatsoever on this.

MS. FIUME: Total transparency.

DR. SLAGA: As Wilma brought out, it's important that we deal with all these studies. If we leave any out, we will be criticized.

DR. PETERSON: Oh, totally.

DR. SLAGA: As you know.

DR. PETERSON: Totally.

DR. BERGFELD: What we like to do always is to thank the Women's Voices of the Earth because they are always digging, digging, digging and are very helpful --

DR. SLAGA: Right.

DR. BERGFELD: -- and very many times complementary to our work.

DR. COHEN: Very thoughtful analyses, I've got to tell you. Those really detailed letters make you stop and think and interrogate the data more. So I think -- is there any further business for today before tomorrow? You know, I have my work.

DR. BERGFELD: 8:30.

DR. ZHU: So have one question. So do I need to include a meta-analysis into Table 1?

DR. COHEN: I think we're going to talk about how Table 1 will be repurposed tomorrow. So can we table that discussion until tomorrow when we can get a group opinion on what Table 1 should look like?

DR. ZHU: Sure. And then I was wondering how to rank the studies in Table 1, you know -- the standard.

DR. COHEN: That's going to also, I think, be the topic of great discussion tomorrow. Do we do it, how we do it, and who does it?

DR. ZHU: Okay.

DR. PETERSON: But this is really a tour de force, you know. To put everything together in one document like that and summarize everything is really great.

DR. COHEN: Yeah.

DR. BERGFELD: Thank you.

DR. COHEN: Completely agree. And I thank you, Wilma and Monice, for mentoring me through my second meeting.

DR. BERGFELD: Oh, you did great.

MS. FIUME: You did a great job, David.

DR. COHEN: And please, guys, let me know if there's better ways I could prosecute through this list in the future. I'm still new and learning and flexible.

DR. SHANK: You're doing a great job.

MS. FIUME: I thought --

DR. SLAGA: Great job.

MS. FIUME: -- you did a great job.

DR. PETERSON: Thanks, Dave.

DR. COHEN: Thank you, guys.

DR. PETERSON: Really fast learner.

DR. COHEN: It's a privilege working with all of you. I mean that sincerely. All right. So we'll see you tomorrow.

Full Panel - March 12, 2021

DR. COHEN: Okay. So, we had the opportunity to review a resource document on Hair Dye Epidemiology. And, our group wants to first thank, Jinqiu, for powering through a large volume of data and updating this important resource for CIR.

Our team was satisfied with the studies that were chosen, considered them relevant for this document, and agrees with the inclusion in the report. Importantly, we feel the document still substantiates and supports the ultimate findings of the conclusion which we didn't feel needed to change or should change.

Having said that, we received a request from Women's Voices for the Earth about improving Table 1, and we agree with that. We felt that Table 1 has become cumbersome and difficult to interpret, as it list many but not all of the studies within the manuscript. In addition, in the context of the information provided in that table, doesn't easily allow the reader to stratify the size of the population, the quality of the information and analysis, or the list of confounding factors and missing variables.

So, kind of by sharing similar amounts of real estate on the table, the table might have the unintended consequence of leveling the value of the studies despite their various sizes and designs.

We're proposing to break the table into subsections based on the type of study, such as meta-analyses, case controls, etcetera. More importantly, we felt that applying perhaps internationally accepted levels of evidence for studies will allow the reader to more equally prioritize the information they're seeing. This might necessitate the help of a cancer epidemiologist, but I'll give you some examples that are used in guideline formation like, level one is randomized control trials. Level three can include qualitative studies or systemic reviews with or without meta-analyses. And level five can be case reports and literature reviews.

So, that was going to be an important change that we were going to request for Table 1. As for some other requests from the team, I think we're unable to provide statements or conclusions about causation between hair dye use and specific cancers. The evidence is not there and the design of these studies does not provide the information needed or has the necessary rigor with regard to exposure estimates, to allow for a causal statement.

Lastly, we discussed the need for tone in the manuscript when describing the nature of the value of both positive and negative outcomes of the study and we all agreed on the need for improvement in assessing exposure in future studies.

So that's what I would report from our committee.

DR. SLAGA: Nice summary.

DR. BERGFELD: Very nice.

DR. COHEN: I took a lot of notes, Tom, yesterday.

DR. BELSITO: Now, David, I like your suggestions and I think they're all very good. I would just add that, yeah, I think the most concerning thing when I first read it was the incidents of breast cancer in African American women, which was new data.

But, it's very interesting and I appreciate the Council's excellent review (audio skip) in their Wave 3 comments, which I think needs to be brought into the report. Particularly because it goes against almost everything we see where short-term and more recent use was more associated with breast cancer than use pre-1980 which is, you know, seems to be a sort of cutoff where for whatever reason the data started getting softer and softer with any cancer related endpoints.

Also, Paul, made a good point that when we're reporting these studies we tend to give the Rollison score at the end of the study rather than at the beginning of the study. And, he thought that we should consistently move that up, after we talk about the cohort and what the participants were, you know, say give an idea of the Rollison score. So when we're looking at this data, we know how much veracity that there may be in there because it was a more rigorous study versus a very poorly designed, poorly control type of study.

DR. BERGFELD: Well, as I recall, that's a similar presentation that David gave about ranking them according to evidence.

DR. BELSITO: Right. But, when we're actually reviewing the study in the text, so, bring that up to the beginning of the document after we introduce the notion and before we start looking at the data.

DR. PETERSON: I agree with that and I actually think the Rollison scale should be added to the studies where they're looking at genotox (audio skip).

DR. BERGFELD: Okay. Thomas, did you have a question?

MR. GREMILLION: I just wanted to voice support for the idea of having a cancer epidemiologist rank these studies. And, to share my reaction, reading this document, I talked about it with Dr. Cohen's team yesterday. It just seem like there was some discounting in some of the studies, it wasn't really clear why there were some of the comments that were in there. And I think having an expert weigh in on which studies are most reliable and relevant could make this a lot stronger.

DR. BERGFELD: Good comment. Any other comments regarding the hair dye resource document? Bart, do you want to make a comment?

DR. HELDRETH: I don't have any particular comment on it. I know that -- haven't we had an expert epidemiologist out of Italy look at this before?

DR. BELSITO: (Inaudible).

DR. SLAGA: Yeah.

DR. PETERSON: Yeah, I would argue it would be helpful to have somebody who thinks about environmental carcinogenesis with epidemiological type of mind, because I do feel that there's -- you know, I believe that the previous epidemiologist was a dermatologist, but wasn't really -- I couldn't tell a hundred percent what their expertise was.

DR. BERGFELD: They were a cancer specialist.

DR. PETERSON: Oh, okay.

DR. COHEN: I like having someone who's good -- it's an important skill set to do that level of evidence. It's not so easy to do that and to describe it. So, someone who has (audio distorted) epidemiologist that can do that.

DR. BERGFELD: Any other discussion needed here? Jinqiu, you need to say something about your document?

MR. ZHU: So, the studies in Table 1 now are summarized by cancer type. I want to make sure the panel wants to look at the studies summarized by study types? Like the cohort study, case control study, something like that instead of cancer types?

DR. COHEN: You know, I think if there are enough studies by cancer types, we can break down the cancer type by study design. I don't see any reason to stop that part. But we can break down (audio skip) the studies designs.

DR. BERGFELD: Anyone else have a comment about that?

MR. ZHU: Regarding the meta-analysis, what content the Panel wants to be included in Table 1? Meta-analysis covers a lot of information, like the study bias. And, do we need to add each individual information for those studies covered by meta-analysis?

DR. COHEN: You mean listing the references -- or every study in the meta-analysis? I think it will make the table very hard to read, because some of them have a dozen studies in them. I think if you referenced the meta-analysis, I think the reader could easily go and see what studies were in that meta-analysis.

MR. ZHU: Okay. So just the major -- like the result of the meta-analysis, right?

DR. COHEN: Yeah.

MR. ZHU: Okay. How about like the model and the statistical calculation model using the meta-analysis. Any details need to be included?

DR. BERGFELD: Wouldn't that be taken care of by level of evidence, that'd be one of the criteria?

DR. COHEN: It might. I don't know if we need that level of detail in the table. You might put that level of detail in the description in the manuscript, but I don't know if I'd put that in the table.

MR. ZHU: Sure. Because, you know, since that --

DR. SLAGA: I would say it'd make the table too big.

DR. COHEN: Yeah, look, I think the table if we had --

DR. BERGFELD: The table is a directory.

DR. COHEN: Yeah, that's exactly right, but it has a level of evidence for the reader to say, okay there's very high level of evidence, I want to look into that more. I could look into the body of the text or I could go right to the reference.

DR. BERGFELD: Right.

MR. ZHU: Okay. You know, because limitations of some studies have been commented by other papers or other researchers, does those information need to be included in the table as well for the reader?

DR. BERGFELD: No.

MR. ZHU: No?

DR. SLAGA: No.

DR. BERGFELD: No, in the text.

MR. ZHU: Okay. Okay, I'm good.

DR. BERGFELD: Okay? Bart, do we need to do anything else with all these recommendations?

DR. HELDRETH: No, that's perfectly fine as long as Jinqiu has everything he needs. We'll take a look at editing this document, seeing if we can get some recommendations on the value of each study from an external expert, and get back to the panel with that new iteration sometime in the not too distant future.

DR. BERGFELD: Okay.

MARCH 2023 PANEL MEETING

Dr. Belsito Team - March 6, 2023

DR. BELSITO: Okay. So we're starting with hair dye epidemiology statement. And, at the March 2021 meeting, we agreed on the inclusion of 12 additional studies and maintained the conclusion that the available epidemiology did not provide sufficient evidence for a causal relationship between personal hair dye use and cancer. But we did request that the tables and the document be reorganized to cover all the studies and to include more study detail, which Jinqiu did. The problem that I had here was that you repeated all the detail and the verbiage as well.

And, quite honestly, this report really put me to sleep for a number of reasons. One is, there are studies that are repeatedly used for different cancer endpoints. And I like the cancer grouping, but could we, as you did in the written report, organize the details of those studies before you go into the table? So, every time we look at the different cancer endpoint, we're not looking at all of the details of the study. You can just, say, refer to the Sister's document, refer to the New Hampshire, or the reference for the study details to sort of shorten those tables.

And then you can shorten -- I mean, the written text should be a summary but without all of the minute details so that you can read the text. And, if you have questions about why you summarized it in that way, you can go to the table. You can look at the details of the study, which are well put out in the tables. It took me forever to read this report. It was actually quite painful for me.

So that's my overarching comment on this report. It's very detailed, but a little too much detail on the verbiage. Put it in the tables and sort of condense the design for the studies that are repeatedly used throughout the table. So, having said that, feedback from other Panel members about their feelings on that?

DR. RETTIE: I'd be happy to weigh in. Yeah. I'd be happy to kick things off. It's the first time I've looked at this. You guys have looked at it many times, it seems, down through the years. So I'd listed a few positives and negatives, and you could perhaps tell me if they're worth working on.

I learned a lot. I learned about the Rollison scale, which I thought was great. I thought that was rather helpful for someone getting to read through this thing for the first time. I like the fact that it introduced sort of sealing important terms, some of them statistical, MoS, TTC. I'd never heard of Cramer Class 3, so that was kind of informative. And then, the different ways to begin to evaluate the statistics. I thought that was all very useful in the introduction.

And I rather like the way that each section on each cancer concluded with meta studies. Although, I agree that that could have been summarized in terms of all the meta studies in one section. But it was good to end with that. It's even updated with a 2023 reference, so I thought that was cool.

Few negatives, I guess, I'd throw out there, and these are just minor weaknesses. I sort of attacked it like I was reviewing a manuscript. And thought I can send these along to Jinqiu and the rest of you later on rather than wander through them.

A couple, though, were I thought there was some confusion about the distinction between solid tumors and liquid tumors. There was like a solid tumor section, and Non-Hodgkin's lymphoma popped up in that one. So I felt that that might need to be played around with a little.

I wondered if you had considered separating out straighteners from the hair dyes, per se, in the past. Occasionally, there was an allusion in the document to straighteners, and it wasn't done in a very consistent way. So I didn't really come away with a takeaway about straightener use and where we were in that. Although, I think it's all rolled in the final conclusion.

And then, oh yeah, it seemed there was at least one major outlier study, the Bladder Cancer Study in Vermont, New Hampshire. Where very statistically significant findings were completely in the other direction from where other studies were by a very large amount. And I wondered if anybody had really vetted that study very closely.

And then, my final comment was about the pharmacogenetic section at the end, which I felt needed maybe a bit of work. There are a few things I could correct in there. Better clarify the GST null alleles and their importance. Maybe clarify outcomes versus exposure for TP53 and the actual DNA repairing polymorphisms.

And I'd be happy to work with Jinqiu on that or write up something and send it all your way to take a look at if you think that's a good idea. Those were my comments.

DR. ZHU: Thank you, Dr. Rettie. So you mentioned the straighteners should not be included in this report, right? So some studies include some --

DR. RETTIE: No, no. Sorry. To clarify, I was just confused about the straighteners. I didn't get a sense of what we were saying about them and whether in fact there were that many studies on straighteners. And, basically, I wondered whether the group in the past, because I wasn't involved in previous iterations, had come to a conclusion about how to deal with them.

DR. ZHU: Yeah. Because some studies, at the same time, they measure the exposure to that permanent hair dye plus straighteners. So that's exposed to the mixture of both. So, in that case, we included those data. But, for evaluation as to straighteners specifically, we didn't include those data. And also you mentioned that we need to like --

DR. RETTIE: It was a minor thing.

DR. ZHU: Mm-hmm.

DR. RETTIE: It was minor.

DR. ZHU: More background information with the story, the cancer and the link of the cancer. There's a distinction? We need some background for that?

DR. RETTIE: No. Well, maybe a way to think about it is putting a sentence or two in the introduction to indicate how and where you were able to evaluate straighteners as opposed to the hair dyes themselves. That might be helpful for the reader, I guess. It would have been helpful for me.

DR. ZHU: Okay. Sure. Will do.

DR. BELSITO: Curt or Paul?

DR. SNYDER: My comments were similar to Allan's and to yours. It took me a very long time to get through the document. It's a lot of data and a lot of information in the document. I have 20-some comments on this version, mostly related to editorial, using science terminology rather than vague terminology.

There's some language in there that I think needs to be tightened up, more specific. We use promote cancer. We probably shouldn't use promote when we talk about cancer because people confuse that with cancer promotion, one of the stages of cancer development, things like that.

So most of that's what I addressed, the science aspect of the thing. And I also think that the organization could be a little different. This is a living document, and it's grown quite extensively since we first started. So I would like to see it again, to be honest with you, to make additional editorial because I got pretty -- I was like Don, I spent three hours with it and I just had to put it down because I just couldn't -- you know, because I was reading it pretty intent. Like Allan said, I was reading it like I was reviewing a manuscript for submission. But I think it's well done, it just needs to be polished a little bit.

Also, Don, you didn't mention, but in Wave 3, we received those PCPC comments and I was in agreement with their comments because it was along the same lines of some of my comments and matched some of my comments, too. I think we should see it again going forward, unfortunately.

DR. BELSITO: Yeah, I agree. I have quite a few editorial comments on this as well. And just a few other comments, maybe, that we should address here. Sorry, I'm getting hit with work-related stuff as well. The other problem with these virtual meetings.

DR. SNYDER: Same for me. When I'm away -- they know I'm not away, and that's a big thing, Bart, it really is. You get a hundred percent of my attention when I'm in person, so I agree with Don on that.

DR. BELSITO: So, your introduction in the written part is a good way to have the groupings for the studies that are used for multiple cancer endpoints, sort of, you know, before the tables.

On PDF Page 12, in your meta-analysis, one of the things that always bothers me is that when you start digging down deeper you lose significance. But that's really probably because of reduced power, right, Jinqiu? You get to such a small group, you just don't have the end to make it significant. I think we have to put it in there, but it's something that, as we look at the results of these studies, we need to keep in mind.

I think in our conclusion or someplace in our document, we should state that it's not the purview of this panel to look at professional exposure. Because we don't really look at any of the studies that have been done on hairdressers. Or sometimes we do, but we're not looking at that -- that would be OSHA, that would not be us.

DR. RETTIE: I think that pops up, doesn't it, in the introduction, where there is, well, a disclaimer of sorts. I thought I read this. Around occupational exposure not being considered. Occupational exposure I thought was --

DR. BELSITO: Maybe I missed that. Is it in the report?

DR. ZHU: Yes.

DR. RETTIE: I thought it was in the intro.

DR. ZHU: It's in the report. Yes, sir.

DR. RETTIE: So that one's in there. The other one I liked was right up front disclaimer about not evaluating individual ingredients, but document's about evaluating products. And I thought that came through, again in the introduction, reasonably clearly.

DR. BELSITO: Yeah, it is. It's PDF Page 7. "However, occupational exposure is outside the scope of the work of the Panel."
Sorry, I must have missed that.

DR. SNYDER: I did make comments in other sections, Don, along that lines like you, saying when we talk about use, we should always reiterate personal care use, consumer use, or something. I added that language in several places just to be sure that we're staying on that message there.

DR. BELSITO: On PDF Page 21, you say under -- this is the Eberle et al. 2020, the Sisters. You say under the adjustment of women were recruited to the study because they had a sister with breast cancer, meaning all subjects in the current study had a significant risk of breast cancer. Unless you know that they had a BRCA positive or genetic predisposition, how do you know just because they had a sister with skin cancer that they were at significant risk?

You know what I mean? There are genetic causes that we know of. So it's sort of assumed that you are at increased risk, but is it a significantly increased risk just because a woman has a sister with breast cancer?

DR. ZHU: So, do not use significant risk factor, maybe potential risk factor?

DR. BELSITO: I think potential is better.

DR. ZHU: Okay.

MR. GREMILLION: If I may, I had a comment about that comment. And I raised it the last time Dr. Cohen's team looked at this. And my question is, even if there is an increased risk, why can the conclusions not be extended to the wider population? It seems like you would need to find a higher risk.

I used the example of assessing COVID vaccines on emergency room workers. I also wanted to ask about -- Dr. Belsito touched on this -- the added statement that no significant association was seen between permanent hair dye use and breast cancer risk in the stratified data.

And I just wondered why -- when you just look at the most robust results for the unstratified data set, is that -- I don't have a good sense of significance of those from looking at that Eberle paper.

DR. ZHU: Because we didn't make those comments. Some of the points was actually clarified by the authors in their paper, or some other comments published in the peer-review paper. We just summarize those points. So, if you go through the main text, they are all referenced, the source of those comments, those points you just mentioned, whether those conclusions can be extended to the wider populations. Actually, it was discussed on the journal.

MR. GREMILLION: Yeah. I guess what I understood Dr. Belsito to be saying, no significant association was seen between the hair dye use and breast cancer in white women or black women. Is that just because the numbers are smaller? I guess I'm questioning whether that should be included in the description of the paper, versus the author's conclusion that there was a significant association looking at the larger dataset.

DR. ZHU: Yeah, because author's conclusion also for epidemiology studies, always there is strengths and certain limitations associated with those studies. It's related to the study design and also the population where the study conducted. So consider those things, the authors always make appointment in their papers.

What's the limitations of the study? What's the strength of the study? So we just summarize those major point in the table for the Panel's consideration. Generally, we do not make those points by ourselves.

MR. GREMILLION: Okay. Putting the new text aside, I am genuinely kind of curious, I don't understand the conclusion. I saw that it was taken from an opinion piece in response to the Eberle study. But that statement, women recruited to the study because they had a sister with breast cancer, i.e. all subjects in the current study had a significant risk factor of breast cancer, so the conclusions cannot be extended to the wider population. I don't understand why the conclusions can't be extended to the wider population.

Wouldn't this background risk manifest similarly to women who did or did not use permanent hair dye? So wouldn't you still get that risk ratio?

DR. ZHU: For example, if the subject have a -- they were included in the study if they have a sister with breast cancer. So, genetically they may have at least a potential higher risk than normal population. So this study was just conducted in the population, the nurses who had a sister with breast cancer. Meaning that they had a family history of breast cancer.

MR. GREMILLION: I understand that.

DR. ZHU: Yeah. So that means they have potentially higher risk. Then, that cannot be extend to the wider population, at least at this point. Maybe it warrant for the investigation. You know, the epi study may be conducted in a wider population. Because our purpose is to investigate whether there is a causal relationship. And, also, it depend on wide-population study design with a large cohort.

MR. GREMILLION: Yeah. It still seems like you could take women from that side and see which ones use permanent hair dye and which ones didn't, and look for an association with cancer. But I won't beat a dead horse.

DR. BELSITO: And, Jinqiu, on PDF Page 21, I want to make sure that I understand this correctly. Again, this is the Eberle Sister study for the breast cancer risk. The third statement down in the middle column, it says, non-professional application of semipermanent dye to others was associated with breast cancer, da, da, da.

So, if I'm following this correctly, this is someone at home applying a hair dye. Like a daughter applying a hair dye to a mother, a mother applying a hair dye to a daughter. Is that correct?

DR. ZHU: I'm sorry, I didn't follow your -- which?

DR. BELSITO: PDF Page 21.

DR. ZHU: Mm-hmm.

DR. BELSITO: PDF Page 21, the Eberle study, it's at the top -- under breast cancer.

DR. ZHU: Mm-hmm. Yeah.

DR. BELSITO: In the middle column, it says, non-professional application of semipermanent dye to others was associated with breast cancer, odds ratio, yada, yada, yada. Does that mean that it's like a daughter applying a hair dye to a mother or vice versa? Is that what that statement means, people at home using hair dyes on other people?

DR. ZHU: I think so. Yeah. It's comparing to occupational exposure. Yes.

DR. BELSITO: Okay, thank you.

DR. ZHU: Mm-hmm.

DR. RETTIE: Could I ask? Go on, Don.

DR. BELSITO: Go ahead, Allan.

DR. RETTIE: Okay. Didn't mean to butt in, but I just read this one and thought I'd bring it up. You may have discussed this before and decided it was important to include the information on cancer deaths in the document.

All of the document is concerned with cancer risk. And where appropriate or where available, I guess, Jinqiu's added in information on the association with cancer deaths. I just wondered if that was diluting the focus of the report a bit. Is it really a plus to have those in there?

Had you considered maybe having a little separate section at the end or just discounting that completely to improve overall focus? I was distracted by the pieces on cancer death, the stats.

DR. ZHU: Yeah. Because, in some studies, the study is not summarized or connected data cancer incidents. Instead, they use the cancer death for the statistical evaluation.

DR. RETTIE: Okay.

DR. ZHU: In some meta-analysis, some studies using cancer death data, they even being excluded by the authors for their final analysis. But, for some studies, they don't have the cancer incidence data, they use the cancer death data. So that's why it's included in the study and then was summarized in the report.

DR. RETTIE: Okay.

DR. ZHU: We don't have the specific focus on cancer deaths.

DR. RETTIE: Yeah.

DR. ZHU: That only depends on the data source of the study.

DR. RETTIE: So, when it's included in there, it's largely because it's a surrogate for missing data around cancer incidents. Is that what you're saying?

DR. ZHU: Yes.

DR. RETTIE: Okay.

DR. ZHU: My understanding is that.

DR. RETTIE: Thanks.

DR. ZHU: Yeah. So in some cases, the meta-analysis, they just exclude those data. Actually, we summarize those point in the table under the note. Yes.

DR. RETTIE: Okay.

DR. ZHU: Just for the Panel's consideration. That's why it makes the table so long. Because at previous meeting, the Panel commented we should include more details, because each study has its own limitations.

DR. RETTIE: Okay. That helps. Thanks.

DR. BELSITO: Jinqiu, I have a question for maybe discussion with the Panel. This is on PDF Page 30, the pediatric germ cell tumors, of which there's only one, the Chinese study by Lin et al., 2020. And it says that the results of the study indicated -- this is under adjustment note.

The results of the study indicated parental consumption of barbecued food during pregnancy also significantly correlated with the risk. How did they separate that out from hair dye? So does this help us at all in terms -- should we even include this study?

DR. ZHU: I think in this study, they measure both, exposure during pregnancy, measure the exposure to the hair dye use, and also they have the data connected for barbecue consumption. That's what the data included in that study.

So they both found exposure to hair dye use and also consumption of barbecue food have significant effect on that PFHx level in the serum, and also which is associated with the GCT cancer occurrence.

DR. BELSITO: Right. And where would those come from in hair dyes? I mean, they're not used.

DR. ZHU: I think maybe hair dye use is not connected with barbecue food use, right?

DR. BELSITO: No, but it's not connected with PFHxS either. Those materials are not in hair dyes that I know of.

DR. ZHU: I understand, but that's what the data says.

DR. BELSITO: I understand, but --

DR. ZHU: Yeah. That's what --

DR. BELSITO: -- isn't it our duty to look at a study and say, this is totally irrelevant? I mean, because it --

DR. ZHU: Well --

DR. RETTIE: I had a similar question about this study. PFHxS appears to be a surfactant in paints and firefighting foams. I didn't go back and read the study, but it seemed to me that it was being used as a surrogate biomarker for types of environmental exposure that also may be associated with development of cancer. And I wondered whether it belonged in here.

DR. ZHU: So, if the Panel think it's not relevant, we can remove that study maybe.

DR. BELSITO: Well, that's what I thought, that it wasn't relevant, but I'm interested in other people's opinions.

DR. ZHU: Okay.

DR. RETTIE: Yeah. I agree with you, Don, but happy to hear from others who are more down this path than me.

DR. BELSITO: Paul, Curt, your thoughts?

DR. KLAASSEN: Yeah. I don't think it has much relevance either. Yeah.

DR. SNYDER: So my comments were related to my comments in the introduction addressing some of this, saying that this document only evaluates these epidemiologic studies in the context of personal care use, not occupational and not environmental exposures. I think we have to be very clear what we're making our conclusion based on. And, so, the conclusion is based upon personal care use, not occupational and not environmental-related exposures.

DR. RETTIE: So that's a nice summary for deleting the paragraph, the single paragraph, on pediatric germ cell tumors.

DR. BELSITO: So, like everyone else, Jinqiu, I have a lot of editorial comments. And, again, shorten the text and do something to eliminate having to repeat the details of the studies that are used through multiple cancer endpoints.

There was only one thing that somewhat bothered me, and that is the non-Hodgkin's lymphoma endpoints, that there was sort of a persistent hint that there was some relationship with dark hair dyes and also increased risk in African American women, who would presumably be greater users of dark hair dyes. Was anyone else bothered by that data?

DR. RETTIE: I just kind of took it as read. It seemed to pop up fairly consistently. There could be some wordsmithing around the color of the hair, I thought, but I wasn't too concerned by that. But what do others think?

DR. SNYDER: Yeah. I didn't ping that. I'd have to go back and read it carefully to -- that's why I kind of want to see this again.

DR. BELSITO: Well, I definitely think we need to see this again, yeah. But, when we review it, just to satisfy me, if everyone would sort of key in on that non-Hodgkin's lymphoma, dark hair dyes in African Americans.

DR. RETTIE: How do we get feedback between --

DR. BELSITO: Go ahead, Curt.

DR. KLAASSEN: Yeah. What page was that on, the non-Hodgkin's, et cetera?

DR. BELSITO: Hold on. Also, the genetic polymorphisms, I think, are very interesting. Hold on. I have to look at all my notes here. Okay. So I just put it in the conclusion. Let me find that. The non-Hodgkin's lymphoma studies, Curt, start --

DR. ZHU: PDF Page 13.

DR. KLAASSEN: Yeah, there's one paragraph there on Page 13. I guess that's probably the paragraph you're talking about.

DR. BELSITO: Yeah. If you look, Curt, on PDF Page 25, it's all of the studies. Increased risk of NHL in women reporting hair dyes before 1980. We've already discussed the difference in those hair dyes. No risk overall of products after.

But then it says, specifically, the odds ratios were 2.1 for women using darker permanent hair dye for greater than 25 years, and 1.7 for women who had more than 200 applications. And then there's, further down, again under non-Hodgkin's lymphoma, increased risk was also observed in women who use permanent, intense color tones for greater than 15 years, those prior, but no consistent -- sorry.

Women with one or two copies of the NAT1*10 allele also had a higher increase. Which I thought we need to look more at genetic polymorphism. Where was the African American? I'm not seeing it now. Sorry, I'm not finding it immediately, but we're going to see this report again.

DR. KLAASSEN: That's okay. Somebody is in a dental chair.

DR. BELSITO: Yeah. That's the other problem with having meetings at home. There's construction outside my apartment today.

DR. KLAASSEN: Oh, okay.

DR. HELDRETH: Allan, to your question about getting the notes to Jinqiu. You can just include either as markup on the PDF copy, or if you want to write it out in a word processing form and include it in your Panel returns after the meeting.

DR. RETTIE: I think I'd go with the latter because they're quite a lot. It might be easier to look at them all together.

DR. HELDRETH: Yeah. Either way is fine, and I'll make sure they get to Jinqiu.

DR. RETTIE: Okay. I'll do it in the Panel returns.

DR. SNYDER: Yeah. I would almost prefer a Word document where I could do track changes. Because I have a lot of sticky notes and it takes more time to clarify what you want him to change, or the point you're trying to make, as opposed to if you just have a Word document with track changes. It's just easier for me to rewrite it how I prefer it to be written.

DR. BELSITO: That's a good point, Paul.

DR. RETTIE: I think that's a good idea.

DR. HELDRETH: We can certainly send a Word version of it.

DR. BELSITO: Yeah. Any other comments on this?

DR. SNYDER: I think it's well done. Like I said, I think it just needs to be polished. I think it's a very good, thorough document. It just needs to be polished.

Reading it with a scientific slant and making sure that -- like I said, there's a lot of language in there of terms that I wouldn't have in there, just because I don't think they're scientific. I don't like significant unless it's given context, and I don't like some of the language like that.

DR. BELSITO: I misspoke. So the non-Hodgkin's lymphoma data was with darker shades. The African American women was breast cancer with darker shades.

DR. KLAASSEN: Okay.

DR. BELSITO: So just pay attention to that as you reread the document, Bart. And I guess we'll get this in a Word form so we can do track change next time around?

DR. HELDRETH: Yes.

DR. BELSITO: And, Jinqiu, you'll see a lot of our edits just as sticky notes.

DR. ZHU: Thank you.

DR. BELSITO: Anything else before we move on to clays? Okay. Seeing no comments, Paul, I may ask you to moderate this because this drilling is just really intense here. Okay?

DR. SNYDER: Sure.

DR. BELSITO: So take it over with clays. I'll pipe in as I need to. Thank you.

Dr. Cohen Team - March 6, 2023

DR. COHEN: Okay, if we're all back and ready to go we can look at the hair dye epidemiology. This is sort of a living document that we've reviewed before and has been constantly updated and it's really just a tremendous tour-de-force, this document, to go through hair dye epidemiology. There's been a couple of new articles that have been incorporated into this. I particularly like the new table design that's broken out by study type, which was from our discussion last year.

It looks like there's sort of a change in the hair dye since the 1980s and that could cloud some of our interpretation of the older epidemiologic data. I'll just open it up for discussion.

DR. SLAGA: Yeah. I think this iteration is by far the best I've seen so far. There's many improvements across the board and as you mentioned, David, the tables are really nice now. They're easy to compare within because they have the same grouping type of studies. I just think it's -- I like it very much.

DR. BERGFELD: I think that the PCPC CIR support group has done an outstanding job this time on all the comments they've made in all the documents, particularly here. They do a very fine job of editing and clarifying the studies.

DR. COHEN: I agree. I mean, any time we get our late breaking waves of data that the amount of detail on those reports is spot on. I mean, it's remarkable. Susan? You're on mute.

DR. TILTON: I thought it was an excellent summary of the available data. So, in terms of comments from the panel, are you primarily looking for just confirmation of whether or not the conclusion that was drawn in 2021 still holds true with the additional study data? Or is it primarily just on the presentation of the data and --

DR. COHEN: I'm taking my own liberty by answering that question, but I'd ask Monice to chime in. But the way I'm looking at this review, is does it harmonize with this team's impression of the data? Do the conclusions fit what we think as a group, does it reflect our feeling? And are there editorial remarks about format, and are there other studies that you think should be in there that are not in there? That's kind of how I'm looking at this discussion right now.

DR. TILTON: Okay. I mean, I thought it was a comprehensive report. In terms of the data, I didn't have recommendations on additional studies. I do think it aligns with at least how I interpret the current data. That there's not sufficient evidence for causal relationship between personal hair dye use and cancer. I think the report even emphasizes that more strongly for data since 1980.

DR. COHEN: Okay. David?

DR. ROSS: I thought it was very well written. I mean, this is a voluminous topic, and your conclusions are going to vary depending on the time period, depending on the hair dye and depending on the tumor type. But I think the conclusion is appropriate and I only had very minor editorial. So, congratulations to the people that put this voluminous topic together.

DR. COHEN: Jinqiu, right, probably spends hours and hours and hours mulling over this. You know, one or two things is that we call this hair dye epidemiology and it's really a cancer epidemiology assessment, right. Because we're not looking at other adverse effects of hair dye. We're not looking at birth defects in pregnant women using hair dye. So, I think we probably should just maybe change the title a little bit to reflect -- no. No, Wilma?

DR. BERGFELD: You're not going to lead with cancer, are you?

DR. COHEN: No. You know, point well taken. Point well taken.

DR. BERGFELD: Hair dye update. This is a challenge that has been posed to the cosmetic industry for decades as to whether hair coloring, especially with a creosols, caused cancer. And so, this is a response -- I think it's got to be 30 years old that we have been responding in some form. But more recently, every four or five years we've repeated this.

Is this the fourth or the fifth reiteration? Or maybe even more. Because we have pledged to update it to keep the public aware that we're on top of this issue and are as concerned as they are about it and thus far, we're supporting safety.

So, this is a clarification of the industry that's interested in humans. Monice, how many times have we done this? I don't know. It goes way back as I remember talking about it early on.

DR. SLAGA: A long time.

MS. FIUME: I was trying to think. I think in this form it was probably developed at least ten years ago in this form. But it had been looked at in another form way back. So, I don't even know the number of iterations? Do you, Jinqiu, based on how many times you've recreated it?

DR. ZHU: I worked on it like four times at least. I don't know exactly before that how many times.

DR. BERGFELD: Well, the first time we did it we had a toxicologist that was unrelated to the CIR and PCPC -- or CTFA at that time -- who did it. They did employ someone outside of the system, so to speak. And then the second time it was done that way. And then when you came on board it was brought in house.

Actually, just a little bit before you -- the toxicologist before you. But if we're into six iterations or eight, I wouldn't be surprised.

DR. COHEN: So, one comment having gone through this, is it is so well done and I don't believe it's --

DR. BERGFELD: Circulated?

DR. COHEN: -- biased. Should it be published at certain point as the current state of the epidemiology in the International Journal of Toxicology? And then as we revisit this and there are major updates, why shouldn't this go out as a report just like we're going to have for trisodium ethylenediamine?

DR. BERGFELD: Great idea. It's available as a separate document on the website but that would give it validity as well. And also, it would reinform the consumer and other toxicologists.

DR. COHEN: I think it would also be widely referenced.

DR. SLAGA: What David's saying, in a way, this iteration really is a fantastic review. And it wouldn't be a primary publication but as a review, since we cover all types of studies, including meta-analysis, in a way this could be a nice review. And maybe CIR should think about that. And maybe this version could go as a present review right now. Updated with a lot of data, recent data. It's a nice review. I think you all have done a great job.

DR. BERGFELD: Monice, does this go out for review to the public as well as other documents do? Is it like 60 days to the industry?

MS. FIUME: Not 60 days, but we do announce in the post meeting announcement that an update has been done. I'll talk to Bart, or it can be brought up tomorrow, about actually publishing it in the Journal. I think this is the first time, as Tom said, this version is -- in the past the panel has always asked for some changes and wanted the information presented differently. So I think Jinqiu has done a great job in responding to everything the panel has wanted and then looking at it himself.

So, I think this is the first time where it seems like the panel's totally comfortable with the document and that maybe publication of it would be warranted.

DR. COHEN: The other thing is for better or for worse, it will go through peer review and that would have value to us, I think.

DR. SLAGA: Right, right.

DR. COHEN: Right. So, getting -- again, if we're going to submit it, I think we do another round to the group understanding that it's going to be a publication. And you guys, it's so important to determine the key authorship locations for something like this. And then we put it out and see what the peer review has to say about it. And if it does successfully go through peer review with minor edits, it does add a substantial scientific credibility to the issue.

DR. ROSS: That's a good point.

DR. BERGFELD: But I'm just going to suggest, would you put it in the International Journal of Toxicology as we've put our other materials, or would we be looking at something that's more readily read?

DR. COHEN: Well, the question is do they put in like the American Journal of Epidemiology, a public health journal, something like that?

DR. BERGFELD: Yeah. I would think we'd change journals, especially with the backlog we have.

DR. COHEN: I'll bring it up tomorrow because I present this tomorrow. Monice, I don't know how much I'm supposed to present tomorrow other than just a summary of what was just said.

DR. BERGFELD: That's it.

MS. FIUME: I believe so. I think -- and, Jinqiu, correct me if I'm wrong. Has it been reorganized the way the panel had wanted it? Do they see any change in what we say in the epidemiology statement? Which it sounds as if no, based on what the responses had been from the panel members unless I misunderstood. But I think it's just bringing it up, having a discussion of it and whether anything different is wanted. Is that correct, Jinqiu?

DR. ZHU: Yes. I think so.

DR. ROSS: So, I guess we'll hear what comments from the other group are tomorrow. So, I think -- we don't know what those comments will be.

DR. COHEN: Maybe my strategy will be to present it, wait for their comments and then wind around with the publication idea.

DR. ROSS: Yeah. That's a good approach, I think.

DR. BERGFELD: Technically speaking, we don't vote on this but we could if there's a motion to put it out as a publication.

DR. COHEN: I think if we agree to do it -- was it reviewed this morning? Yeah, it was the first thing in the Belsito group. I mean, this is not the same as what we're normally doing, but was the review on this of similar feedback, Jinqiu?

DR. ZHU: Actually, Dr. Belsito mentioned that maybe there are too many details have been incorporated in the tables. So, I'm not quite sure what type of content need to be removed from the table to --

DR. COHEN: He brought that up last time, too.

DR. ZHU: Yeah, and so --

DR. BERGFELD: There's lots of details.

DR. SLAGA: But now with more details it does make it more publishable.

DR. BERGFELD: Yes. I like it better.

DR. COHEN: Okay, so we'll have that discussion and we'll come around to it. Because it's a gigantic piece of work. It's very different than the respiratory resource document. It's just a bit of a different circumstance and it sounds like it's more fast breaking. So, okay. That'll be nice tomorrow.

Full Panel - March 7, 2023

DR. BERGFELD: Now we come to one of our bigger discussion items, and that's the hair dye epidemiology resource document. Dr. Cohen.

DR. COHEN: So, while it was a very long document, maybe the discussion won't be similar. Our team reviewed this, acknowledged the amazing tour-de-force this was to put together. The additional studies that were added were well documented. We agreed with them. We feel that the new document also attended to our concerns about the tables.

So, the new tables are excellent. They're laid out by study type and are easy to navigate. They are extensive in their descriptions in those tables, but we felt that the document reflected our team's feelings about the interpretation of these epidemiologic studies.

One further comment was that we thought this report was so well done, and the tables really quite excellent, that we might consider this past just the resource document, but this is a really up-to-date review article about hair dye epidemiology. And we wanted everyone's opinion on the thought of submitting this for publication, and not necessarily the International Journal of Toxicology but maybe a large epidemiologic journal. We thought it was that good. So, that was all I had to say about it right now.

DR. BERGFELD: Bart, what's the reality of doing that?

DR. HELDRETH: If the panel agrees that that's what they want to do, that's not a problem. We've submitted papers for publication in other journals before.

DR. BERGFELD: And would there be a recommendation or a consideration of a recommendation to what journal it might be placed into?

DR. HELDRETH: That's what I would like to hear. I'm not familiar with which are the best epi journals to go chasing after.

DR. COHEN: Well, we can do that offline and look at impact factors and look at the other -- I just want to make sure that Don and the team feel the same way, because we didn't hear what they thought of the report yet.

DR. BERGFELD: Yes. Well, let's hear from Don then and his team.

DR. BELSITO: Yes. We did a huge amount of editing on this and several suggestions. We liked seeing the various cancers broken down, but in many of the cases, such as the sister study, the New Hampshire study, the protocols were repeatedly given in the table. And we thought that there could be a lot of condensing done there. By, first of all, a lot of the details in the in table were also in text and the text could just summarize what the tables say, get to the point, our conclusions from that because all of the hard data is in the table.

For those studies that have repeated uses for different cancer endpoints, that information could be given once and then referred back to because the protocols were the same. They just elected to look at different endpoints. So, a lot of editing. I don't think -- I mean, I can't speak for my teammates, I personally think publishing this would be a good idea. It would be very nice other than just as a resource document.

But I think there's still a lot of editing to be done with it. And I also just pointed out to my teammates yesterday, that as we continue to follow this data, I was just a little bit concerned getting rid of the data pre-1980, that there was this noise about darker hair shades or hair colors and non-Hodgkin's lymphoma. And also the increased risk in black women in breast cancer. And what happens is when you try and do sub analyses of these groups, the ends get so low that you're not getting any statistical power.

But, just I think as we go forward and following new documents, those were the two areas that I was concerned about. Darker hair shades and non-Hodgkin's lymphoma and darker hair shades, i.e. because of the association with African Americans who presumably are using darker hair shades and this noise about breast cancer.

DR. COHEN: Those are really good points. And the other thing, Don, was if we submit it to another journal, it'll be highly subjected to peer review and they'll give us feedback about how we're thinking about it.

DR. BELSITO: Right.

DR. COHEN: It's sort of like another look at our resource document, and should we be tweaking it in a certain way because someone else is looking at this with a different eye.

DR. BELSITO: Yeah. I agree. Totally. You know, to have it go out to three different reviewers and get their opinion on where we're going can only help the credence of this document.

DR. BERGFELD: That peer review could be done just by us having agreeable scientists to look at it or it could be through a journal peer review system. But I think the general consensus is that it would be a good idea. There would be some editorial changes in the document to make it clear and more condensed. And then to explore the possibility of publishing it, but to maybe have a critical review prior to the submission. That might be interesting.

DR. BELSITO: Okay. The Panel needs to critically review it, again, with all the editorial comments that my team made -- I don't know about the Cohen team -- to look at the document and certainly, at least, once before it's submitted but I agree with --

DR. BERGFELD: Absolutely. Absolutely.

DR. SLAGA: Yeah.

DR. BELSITO: You know, most journals allow you to suggest reviewers, but in the end it's their decision where it goes to, and I think that's great.

DR. BERGFELD: Okay. Well, I can say by consensus we're going to move forward. We'll take in all the editorial changes that need to be made, bring it back to the panel again and explore in the meantime in the background where it could go and who the reviewers might be. Okay, thank you very much, Jinqiu. Very nicely done.

SEPTEMBER 2023 PANEL MEETING

Dr. Belsito Team - September 11, 2023

DR. BELSITO: Okay. Very good. Moving on. Admin hair dye, what journal do we want to put this in?

DR. KLAASSEN: I thought we had kind of suggested that we send this to a couple of --

DR. BELSITO: I thought Luigi was already involved. Is he not, Luigi Naldi, for epidemiologists?

DR. KLAASSEN: Yeah. What I was trying to say, I thought we had decided that we would try to send this out to a couple epidemiologists to get their input to, number one, to improve it, and number two, to suggest what journal.

DR. BELSITO: Yeah. Isn't Luigi Naldi in Bergamo, Italy, involved in this?

DR. ZHU: Not to this meeting, previously involved in it.

DR. BELSITO: Previously?

DR. ZHU: Yeah.

DR. BELSITO: I think it would be great to get him on board to do a meta analysis of all our data. I think it was suggested.

DR. EISENMANN: No, we weren't suggesting a meta analysis. We were just suggesting more of, instead of summarizing each study separately for one area, you say there were ten studies looking at this endpoint. Five of them were this, and six of them were this because your table is what is summarizing it study by study. That's all we were suggesting.

I don't know. If you go to a journal, I don't know if some of the journals have some instructions on if they accept reviews. I wouldn't consider this a meta analysis. This is more of a review.

DR. BELSITO: But it could be a meta analysis.

DR. EISENMANN: Yeah. But, usually, the meta analysis are on one endpoint, so it's not going to include -- it'd be multiple meta analysis because you're looking at all different endpoints.

DR. ZHU: That's a huge work.

DR. EISENMANN: Right, right, right.

DR. EISENMANN: It would be very expensive and huge. And there's so many meta analyses already out there, too. I'm not sure if it's necessary in the sense that you'd say, oh, what studies are not covered in the meta analysis were done? Or what studies were covered in the meta? So think something like that because you already have the table where each study is --

DR. BELSITO: I think it was Wilma's suggestion that we publish this. I look at this as a living document and not something that gets published.

MS. TUCKER: Right. Yes.

DR. EISENMANN: I think it's fine as that.

DR. BELSITO: Right.

DR. EISENMANN: It's not something that's published. As it is, it's fine for a background.

DR. BELSITO: Right, because it's something that we're going to monitor and, when new studies come up, we're going to update. By the time anything is published, it's probably going to be several new studies.

DR. EISENMANN: Right.

DR. BELSITO: And it's also a matter of many of the studies are -- I think sometimes the numbers are misrepresented because this sister study is used across multiple different studies, and so those numbers seem inflated. So I don't think we should publish this was my opinion.

DR. KLAASSEN: I see no problem on leaving it as a living document that we include when appropriate.

DR. RETTIE: I think a review could throw up an awful lot of questions that we would decide we couldn't do.

DR. SNYDER: Just the first paragraph here, if I was reviewing this, I would -- various chemicals, certain chemicals. You've got to be more -- they're very nonspecific terms. And I rewrote that to just say, this document represents a living resource document used by the expert Panel for cosmetic safety evaluating the potential human risk for personal hair dye use and cancer risk. The existing body of evidence primarily is epidemiological data regarding personal hair dye use and cancer risk does not support a causal link.

DR. BELSITO: Yeah.

DR. SNYDER: As of that date and the studies contained within this.

DR. BELSITO: The sister study actually was published as a separate study by Sandler. It's in Environmental Health and Perspectives in 2017. I have it referenced in my notes. We can say the sister study, and then everyone cherry picked out of that study data what they wanted to use in their study for different carcinogenic endpoints.

DR. RETTIE: I'm not really advocating for pushing for publishing it. But where I thought it might be of some interest to the epidemiology community was in the sort of synthesis of the last 40 years' experience since the 1980s, when a number of things had changed.

DR. SNYDER: Well, I think that's the key. To me, the older studies have flaws because they weren't aware of the compounders. You know what I mean? So, as they refined and gotten better, that's what I think it is.

DR. RETTIE: Think it had most of its value there, perhaps retooling some people's ideas of this stuff. It'd been in the field a long time.

DR. SNYDER: Yeah.

DR. RETTIE: I'd worry about having to deal with questions from reviewers about sensitivity analysis. We haven't done all that stuff. It will take forever.

DR. BELSITO: I just had a question on PDF Page 53, where it goes through the ingredients in hair dyes have changed over time. The US Food and Drug Administration offers directives for those using hair dyes and are conscious about its safety. And, then, you say the FDA persistently monitors da, da, da, da. As of now, the FDA lacks substantial evidence to establish the connection. Is that in reference to 24?

DR. ZHU: Yeah, it's a website.

DR. BELSITO: Then it should be referenced again at that point.

DR. ZHU: Okay.

DR. BELSITO: Because it's not referenced, and I didn't know if that was another reference that wasn't put in, or if it was all part of reference 24.

DR. ZHU: Okay.

DR. SNYDER: Yeah. I said the reorganization of that particular paragraph. So it should go with sentence five, then sentence four, then sentence six.

DR. BELSITO: Yeah.

DR. SNYDER: Remove one through three.

DR. ZHU: Okay.

DR. BELSITO: Then, on PDF Page 56, this was looking at African American and European American women in metropolitan New York. It says the differences in risk profiles between African American and European Americans are not easily reconciled. They may reflect different patterns of use or represent chance effects due to multiple sampling. And I just had a question. Was this the author's conclusion?

DR. ZHU: Yes. Author's statement.

DR. BELSITO: Because, when I look at just the data summary, I would think that darker hair dyes are what would be the explanation. Anyway, I think it should be just put into what is the author's conclusion.

MS. TUCKER: Okay.

DR. BELSITO: Also, again, I'm a statistician. Throughout Page PDF 61, you say p trend. What does p trend mean? Is it a trend, or is it significant? PDF 61, the first full paragraph, a hospital-based case-control study that's looking at prostate cancer. Personal hair dye use increased risk of prostate cancer with a dose response effect, open parentheses, p trend less than 0.05 percent.

DR. ZHU: I think that's based on the frequency of exposure, like different times you're exposed.

DR. BELSITO: Is that a term that's used? I'd never heard it before.

DR. ZHU: That's a term used in the paper.

DR. BELSITO: I understand, but is it a term that is recognized or accepted?

DR. SNYDER: Accepted by the statistician, really.

DR. BELSITO: I've just never heard, I've never seen anything in a published report say p trend before.

DR. RETTIE: Says it represents a probability of the error when expecting that the trend differs from zero. I don't know what that means.

DR. BELSITO: Where did you find that?

DR. RETTIE: Just did a quick search for p trend online.

DR. BELSITO: Okay. It exists. Pediatric germ cells should go away. There's no evidence of use in hair dye. Study is from China. I attached a reference. It appears an environmental issue in China. It's due to toxicity of water supply. So I would get rid of the pediatric germ cell tumor part of this. That's PDF Page 61. So, in PDF Page 63, the last paragraph, it says replication of the results

of the observed association and further investigations, especially well designed, large-scale prospective cohort studies were proposed by authors. Which authors?

DR. ZHU: I think, because we don't have the citation here, there's multiple papers the authors proposed for the investigation.

DR. BELSITO: But I think you need to state which authors, no, or reference.

DR. ZHU: Our format is we don't have the references cited in the Discussion section. Do we need to cite those papers?

DR. BELSITO: Well, then I would say that that would be our conclusion as well and get rid of authors.

DR. ZHU: Okay.

DR. BELSITO: Large-scale prospective cohorts studies are needed to continue to monitor for safety, or something to that effect. I thought there were a couple of awkward sentences here.

DR. SNYDER: I rewrote that whole thing.

DR. BELSITO: Okay. Thank you, Paul. Yeah. I just have a few comments, major one was to get rid of the germ cell tumors. Anything else on this?

DR. RETTIE: I think I read in -- was it a Wave 2 comment questioning reference 77's relevance?

DR. BELSITO: That's the germ cell, isn't it?

DR. EISENMANN: No.

DR. RETTIE: No, it's a TP52 gene environment interaction was the study. And I think the question was, is a gene environment interaction study relevant to what we're doing here? And I kind of thought no. I mean, it's interesting, but it's not directly relevant. It's an interaction study. This would be one step removed.

DR. BELSITO: Okay. So we're going to remove -- this is what PDF page?

DR. RETTIE: 62, the last paragraph, when you're looking at this Pro/Pro genotype or Arg/Pro allele in the TP53 gene. So that can go I think.

MS. TUCKER: Okay.

DR. BELSITO: The last paragraph on Page 62?

DR. RETTIE: Yes. Starts in a cohort of 327 women.

DR. BELSITO: So that whole thing goes away?

DR. RETTIE: Yeah.

DR. BELSITO: Okay. Anything else? Hearing nothing. You all set, Jinqiu?.

DR. ZHU: Yeah.

DR. BELSITO: So we're going to recommend against publication and get rid of the germ cell too.

Dr. Cohen Team - September 11, 2023

DR. COHEN: Okay, we'll go to hair dye. So, we received a draft revised hair dye epidemiology document for review, which I thought was very well done and had excellent additions. A few comments. There was a question to us about what journal this might go in to, and you asked basically impact factor versus H-factor. I might be tempted to go with the highest H-factor. It seems to be used maybe a little bit more currently.

There was a comment in the second wave from the Council regarding aggregating the findings from multiple meta-analyses. And boy that comment just made me pause for a long time. Where there's a suggestion of doing additional meta-analyses on -- I guess a network meta-analysis on the meta-analyses.

DR. ZHU: I think it's additional analysis.

DR. BERGFELD: What did you say?

DR. ZHU: Additional --

DR. COHEN: Additional analysis.

DR. ZHU: -- analysis. My understanding is that so for the success it's without. But that's only for multiple cancer types, it may needed to do -- to perform a different meta-analysis for different kinds of type. Do we need to do that?

DR. COHEN: Well, I think what they're getting at is for specific kind of issues, like they brought up the hematologic cancers, 19 studies, 8 meta-analyses, what is our conclusion on our analysis of that more specifically?

DR. ROSS: Yeah. I had a lot of comments still on this. I was going to send them to you in advance, but I thought I would just send them to you today and we can maybe discuss them.

But I thought the Abstract and Discussion were key, but I didn't like the final sentence in the Abstract. And in the Discussion, I think we have to -- as David just pointed out -- I think we have to interpret what we're putting in this review paper a little bit more, what are our conclusions.

And I think we have to comment a little more on the positive associations that were reported. I think there was breast cancer in the studies in black women, for example, prostate, hematological -- there was a number of positive examples. Obviously, emphasizing again the conflicting studies in each tumor type. This is not all positive or all negative.

And I'd like to see, as David was alluding to, there's some aspects of the meta-analysis emphasized, and some discussion of, you know, if we think we can get to it, if there were 19 studies, where it deem positive, or, you know. It may not be possible to do that type of analysis, but I think maybe we should at least consider it. And then, going to the subsequent paragraphs in the discussion on limitations, which I think are good paragraphs.

But I think we need some discussion of the positives and negatives of incidence right up front in the discussion. And I know that's difficult, and you've got to be very careful what you put in there.

DR. BERGFELD: Did you mean in the Abstract that you wanted this?

DR. ROSS: No. In the Discussion.

DR. BERGFELD: In the Discussion, okay.

DR. ROSS: In the Discussion, yeah.

DR. GRIFFIN: I'd like to second that. I thought there would be a nice -- this lent itself to a really relevant conversation about -- especially the higher breast cancer for black women. And I thought that this really needed to be dug into a little bit more. And so, I second those comments and think that that would be a nice addition to this.

DR. COHEN: Susan?

DR. TILTON: So, in terms of comments, I also have some edits and comments that I can provide in the written document. But some of those are around additional synthesis of the results and some conclusions that can come up in the discussion. One question I had about the journal.

So all of the journals that are under consideration right now are epidemiological journals. And so, I guess I wanted to just question -- I mean, just based on the expertise on the committee if considering submitting it to either a more clinically focused journal or toxicological/public health related journal as opposed to an epidemiological journal. Especially given our conclusions of the epidemiological data.

DR. COHEN: Would you be able to recommend some that we could look at, so we could look at the table of contents on what other articles to be published in them?

DR. TILTON: Yes. Yes, I could.

DR. COHEN: High H-factors.

DR. TILTON: Yeah. Yeah, some of them do have lower impact factors. I think we just need to consider, too, the organization of the data. Possibly a larger meta-analysis for something that might go into an epidemiological journal.

DR. COHEN: Tom?

DR. SLAGA: Overall, I like the document. I do think that the discussion needs to be expanded somewhat. The rest of it seems pretty well put together. In terms of a journal, we have to make sure we try to publish it first in one of the American journals. You know, I thought maybe international would've been okay but it's -- our first audience is our own audience, so the American journal would be my choice.

DR. COHEN: So, I guess the question at hand now, is do we have the resources to do a network meta-analysis of these 19 studies ourselves as opposed to just summarizing the eight meta-analyses?

DR. HELDRETH: I don't know.

DR. COHEN: That's a fair answer.

DR. SLAGA: I would assume we would have to get someone to do it for us.

DR. ROSS: I mean, if you're talking about an analysis of meta-analyses, I mean this is some pretty heavy statistics. I don't think --

DR. SLAGA: Yeah.

DR. ROSS: I think Tom is right, we'd probably have to get someone to do that. But I think we can discuss, to some extent, what these findings show without doing a formal statistical analysis of all the studies out there. So, I think you've got two options. You can dabble your toe in the water before you swim straight in, so.

DR. COHEN: This a resource document that seemed so important that we should get it out into the literature, right. And if we're merely descriptive, it's just a review article, right?

DR. SLAGA: Yeah. Just a review article.

DR. COHEN: Right. And if we're okay with that, that's fine but don't our resource documents do more than just review the data, don't they inform us of how to make decisions on some of the things that come across our table?

DR. HELDRETH: I think if we look at another resource document like the inhalation resource document, it always falls out that, okay, this is useful but it's going to be case-by-case. It's going to be broken down to the parameters of what are the toxicities of this chemical, what are the exposure parameters? And I think that's not going to be all that much different from a nitrosation resource document.

DR. COHEN: That's different than this, right, because that requires case-by-case -- because the methods of use are very, very different often. You can have the same product in a cream going on an arm and could be in an airbrush somewhere.

But hair dye is pretty monomorphous in its use, right, in its application. And so, I think if we publish something, don't people want to know is dying my hair going to give me cancer? When we're clearing these products as safe as used, aren't we going to be looking at the epidemiology of hair dye and is it safe for use? Are there any other caveats we want to include in a document like this?

Look, the other thing is keep it as a resource document and not publish it. But if we're going to take a bit of a more forward stand on it, maybe we would do additional analysis of the data and make a conclusion.

DR. ROSS: There has to be more discussion of the different steps.

DR. SLAGA: Yeah.

DR. ROSS: And then I think you can probably stop it there as a review document without doing more epidemiological analyses on the data. I'm not sure that's where we want to go, but I think we can discuss what you've summarized. Because my recollection of the previous discussions on this, and I wasn't around for a lot of them, but the ones I have been in was that this has got to the point where there's a lot of information in here, and it'll be nice to get this published.

DR. COHEN: Yes, I agree.

DR. ROSS: And so I still think that, but I would just like to see more in the Discussion. And I'm sending this to you right now.

DR. BERGFELD: Can I say something?

DR. SLAGA: I agree with that. And just -- we should stick to a review article.

DR. ROSS: Yeah.

DR. COHEN: Okay. That's perfectly fair.

DR. BERGFELD: But we have already dealt with hair dyes for quite a long time, and I was trying to check my notes. But I think a 1980 the coal dyes was tossed out because they were unsafe. And then we've removed, what, five, five hair dyes, in the unsafe list? Something like that out of the seven. I think that we could bring that in as a statement in the discussion. That as of 1980 and onward, the hair dye, I guess, risk has greatly been reduced.

The other thing is, in these meta-analysis, there is a critical review by the publishers, the editorial staff, and is there any comment about the value or the quality of that meta-analysis that we might take a look at?

DR. COHEN: That's a good point.

DR. BERGFELD: Because then, if it's of poor quality, then why would we include it? If that comment has been made.

DR. COHEN: And Wilma, just to get a little more granular with your last statement about we're taking out hair dyes. Over these years, the ones that I'm familiar we're taking out is because we have a lack of data that's not -- right?

DR. BERGFELD: That can be said, too.

DR. COHEN: Right. It's not like we're collecting adverse data, there's just a lack of data.

DR. BERGFELD: You could say that. But to show to the public it's not only a review, but there's been action taken since 1980. And you could tell what happened before then. But 1980 and as I said, I think there were about five or seven dyes that had been removed for some reason. Insufficient is probably the biggest.

DR. BERGFELD: One was contact sensitivity, maybe, I'm thinking.

DR. HELDRETH: PPD.

DR. BERGFELD: Yeah, PPD.

DR. ROSS: It's great to show progress. I think that should be in there, yeah.

DR. BERGFELD: Yeah, I think that that would put this in position.

DR. ROSS: I agree.

DR. COHEN: Okay.

DR. HELDRETH: So, it sounds like so far there's a consensus that it remain essentially a review article --

DR. BERGFELD: Can't hear you.

DR. HELDRETH: -- essentially remain a review article without going into a meta-analysis of a meta-analysis -- meta-analysis. But that we should flesh out much more in the Discussion about the topics like that Courtney raised as well as explaining what the Panel's viewpoint are on the positives that pop up in these association studies, these epidemiology studies.

DR. COHEN: One practical issue is we decided we want to publish it. We need to pick a journal. And then, the article needs to be formatted in journal style because I'm not sure this, in its present form, will get published. Right? It's going to be drilled down.

So, the question is, do we spend a lot of time doing editorial work on this, or does this need to be drafted as a submission to the target journal, and then we all go ahead and edit that one? Because we're going to edit a version and we may need to take 2,000 words out of it to get it to go.

DR. SLAGA: Right.

DR. HELDRETH: I think if we could come out of this meeting with the Panel's input on what needs to be fleshed out in the Discussion and so forth, without going into a full edit as you mentioned, and an idea of what journal we want to aim for. Then the next iteration that the Panel would see of this document, Jinqiu could reorganize it, reformat it into the acceptable submission style that that journal would take on. And then the Panel can go through detailed editing of the document.

DR. BERGFELD: I want to make a comment about the publication. This kind of publication would not be one that sit around for five years. This one would have to have immediate -- almost immediate -- within a years' publication.

DR. COHEN: Oh, I think this would be a standard article. And if it makes editorial and peer review, it'll probably go in e-format within weeks, right?

DR. BERGFELD: Right. Right. So, you're suggesting an open format/open publish.

DR. COHEN: Open access.

DR. BERGFELD: Yes. I think that one could take it, after it was published, and hopefully within the short period of time we're talking about, that an excerpt, a summary of it could be put in some of the dermalogical literature.

DR. COHEN: Yeah. And it could also be presented -- the news rags, right? The Skin and Allergy News, Dermatology Times, things like that.

DR. BERGFELD: Right.

DR. COHEN: I actually think it will get a fair amount of press attention.

DR. BERGFELD: Well, you'll have to alert them.

DR. COHEN: No, no, I know that.

DR. BERGFELD: Alert them to look at it.

DR. COHEN: But this is a very high interest for people. They're going to want to know about this. And it'll draw a lot of attention to the CIR, both positive and negative, I'm sure.

So, maybe by tomorrow could we pick -- maybe over dinner or something we could pick the journal, the first and second choice journal? Because the formatting of every journal is going to be very, very different. You need some sense -- and I wonder if we need a sub-committee to curate the editing. I mean, I guess all of them could come to you, right, but you might get conflicting edits. Right. That's going to be not so easy.

DR. BERGFELD: That's with all editors.

DR. COHEN: Yes. Okay. Any other further comments? I'm presenting this one tomorrow so any other detail?

DR. ROSS: On the journal type, I think what you -- furthering our discussions we've had on journals, I think open access is key so that people can --

DR. COHEN: Anywhere can get it?

DR. ROSS: Yes. Yeah.

DR. COHEN: Your Google search would take you to a PubMed link and then it'd be open access on the PubMed link. What was the highest H-factor journal again? I forgot to write it down in my notes.

DR. BERGFELD: It was the food one. I think it was actually stated here.

DR. ROSS: Food and Chemical Toxicology.

DR. BERGFELD: I think so. That was the one that RIFM had used, too.

DR. COHEN: Do you guys like that one? Susan, Tom, what was the H-factor on that?

DR. ROSS: It was about five, wasn't it? Yeah.

DR. COHEN: Was that the impact factor or the H-factor?

DR. ROSS: Oh, that was the impact factor.

DR. HELDRETH: Not on your list, Jinqiu, we're talking about Food and Chemical Tox --

DR. ZHU: Oh, okay.

DR. HELDRETH: -- that RIFM typically publishes in. Do we happen to know off the top of our head what that --

DR. COHEN: The other question is, is this something that can go in the Journal of the American Academy of Dermatology?

DR. BERGFELD: Well, I think an edited shortened version.

DR. COHEN: Well, it'd be a review article. Right, they have a review article. They are substantially shorter than this, but that has an impact factor that's --

DR. BERGFELD: Thirteen.

DR. COHEN: -- much higher than anything we have. And it is a very important vessel for the clinicians that deal with hair dye reactions. Like, we're the ones that deal with the hair dye reactions. Very rarely is it ER. It's us. And derma journals get followed by popular press a lot more than, say, toxicology journals that have more esoteric. You think so?

DR. GRIFFIN: I do think so.

DR. COHEN: It depends on your view of things, if that's not your bathroom reading I suppose.

DR. GRIFFIN: And about the media, I do think this would be picked up. There is a litigation right now with chemical hair straighteners and there's a discussion being had about hair dyes in that litigation. So, I think it is something that the media would pay attention to. It's something that consumers would pay attention to, and it's certainly something consumers should have access to.

DR. COHEN: So, JAAD has open access options. Would the team be okay if I propose that tomorrow and see what the group thinks of it? Very high impact factor, very high visibility?

DR. TILTON: I would support that, David. Like I said, I really think that this should go more towards either sort of a clinical or toxicological journal maybe than an epidemiological journal.

DR. COHEN: I agree. I think your comments sparked further discussion from where we were. Okay, let's see what Belsito's team thinks about it tomorrow. What were you going to say?

DR. HELDRETH: You had asked about the impact factor for the Food and Chemical Tox. It's 5.57.

DR. COHEN: Yeah, so the JAAD is maybe two and a half times -- it's the highest impact derm journal, I think, now in the world. It used to not be, but I think it is now, or pretty close to it. Okay, we can move on.

DR. ROSS: One final comment. You said that the RIFM they published in Food and Chemical Toxicology.

DR. BERGFELD: Yep, that's why the reference.

DR. ROSS: Yeah, I'm just looking at it here, yeah.

DR. COHEN: But, again, I think the level of esoterica perhaps in that versus this is different. I mean, every household in the country almost is touched by this, right, in one way, shape, or form. Okay. Any other comments?

Full Panel - September 12, 2023

DR. COHEN: Okay. So this is an excellent resource document. So much so that in previous conversations we thought it valuable to publish this in peer-reviewed literature. We had a discussion that we thought the discussion needed to be expanded to include more on the increased risk of breast cancer in black women that was reviewed in the report. We also discussed a comment that over the years we have eliminated some hair dyes over time for various reasons.

There was a comment somewhere about whether we would do our own network meta-analysis of the data or not. And in our team, we thought that we would just do this as a review document and not do this as a network meta-analysis. We wouldn't re-interrogate the data that way.

We thought that before we go through many more edits, we would format this for a target journal and then go ahead and do full edits as if we were going to have this published. We looked at the impact factors and h-index of the publications under consideration, but we were proposing the Journal of the American Academy of Dermatology which has very high impact factor and a high H factor compared to the other epidemiologic journals, and would put it in the hands, I think, of an importance set of stakeholders.

DR. BERGFELD: Don, comment?

DR. BELSITO: Yeah, first of all it's way too long to be accepted by the JAAD.

DR. COHEN: That's why we thought it should be formatted for the Journal before we went ahead and edited it again.

DR. BELSITO: I understand. We thought that this was better off just left as a living document. It's a review. By the time it's published there may be more data that's added. I think that it's best just left out there online unless we really wanted to do our own meta-analysis, which would involve getting a statistician to really do this. There's very little to be added other than it's sort of a review in the literature that has been done in terms of meta-analysis for different endpoints.

So we just thought the idea of a publication was not very helpful, that it exists out online, people can access it. And that makes it very easy to just continuously update.

DR. BERGFELD: Dr. Cohen? Paul?

DR. SNYDER: Also to the fact that it's a living document, so it's going to change probably every six months. As Don said, new studies will come out and all of a sudden that publication is not really relevant because it's not consistent with our living document in regards to how we're looking at things.

DR. COHEN: You'd have to acquiesce, though, that that is true for any review article, in any subject matter, right? I mean, it's as good as the date of the publication, probably six months before the publication date. And with electronic publications and open access, this could be available within weeks of peer review, right. JAAD would put that out very quickly in e-format, long before that.

DR. BELSITO: You have to pay a significant amount to get open access in JAAD.

DR. COHEN: I mean it may be significant amount for a student doing a paper, but I think for us doing this -- there's a lot of work that went into this. And our living documents are very important for informing us about how we adjudicate a lot of the data that comes across.

We just thought this had a lot of interest, both for the people consuming these products and for the healthcare providers that get asked these questions all the time. I know I get asked about this all the time, about the safety of hair dye. And it's very comprehensive.

And, Don, you're right, when we format this for publication, it may look very different, and the amount of work that's going to go into this to format it is a lot, but the data could be very valuable, and I think coming from this body would have a fair amount of weight.

DR. BERGFELD: I see it as two activities. One, for our living document to update it; two, as a summary that could go out into a meaningful journal to alert the meaningful public, dermatologist in particular, to the information at hand and referring them to the website. So, I can see it two ways.

DR. BELSITO: Without getting an epidemiologist involved to make succinct conclusions on each of the carcinogenicity endpoints we'd looked at, how would you imagine shortening this document to the point that it would be publishable in a journal like JAAD? Because what you'd have to do is basically say, you know, this was a meta-analysis -- you know, do a Cochrane grade on what you looked at and make just succinct conclusions for each of the carcinogenicity endpoints. And we don't have -- at least, I don't know -- Jinqiu, do you have that statistical power to do those meta-analysis?

DR. COHEN: No, no, we're not doing a network meta-analysis, we're doing a review.

DR. BELSITO: I know, but without doing it, how can we shorten an 87-page document to a publishable article and make sense of it?

DR. COHEN: Well, because I think if -- we can shorten it. I mean, most review articles on extensive formats like this have to distill it down to maybe the author's conclusion. And we can -- in tables.

DR. BELSITO: So we'll just have sentence after sentence --

DR. COHEN: No, you put it in table.

DR. BELSITO: Even the tables are going to be voluminous.

DR. BERGFELD: Well, the first thing to do is to complete this document because it is our living document.

DR. BELSITO: Right.

DR. BERGFELD: And the second thing to do is to consider possibly shortening it, in some manner, to put into a journal that has a more public viewing.

DR. BELSITO: So, to complete the document, first of all, I think we need to get rid of pediatric germ cell tumors. It was a report out of China. I further looked into germ cell tumors and it's an issue in cities in China due to water contamination. So, it doesn't appear to really be due to hair dyes.

DR. COHEN: But I think we'd have to look at the formatted paper for publication and compare that to what we took out of the original one. Perhaps it would be track changes on the current document, what was eliminated so we can at least review what was taken out.

DR. BERGFELD: I see this as a continuous project. And the first thing at hand is to review this living document and make sure that it goes up reasonably quickly. And the second thing to do, over time, is to think about a focused peer-reviewed journal, some kind of document that we could publish on it.

DR. BELSITO: Right. Okay. So, the comments in terms of the living document. The Sister Study Report. There is actually a Sister Study Report that has been referenced and selected data used by multiple different authors. And that study, the lead author is Sandler. It was published in Environmental Health Perspectives in 2017, Volume 125. And I have a reference in my document. So, we need to reference the original Sister Study that other authors use, not the first time it was referred to.

There were just a few clarifications in the document just in terms of references. We had a question about p trend. But anyway, the pediatric germ cell tumor has to go away. I also had the reference for the Environmental Toxicology and Chemistry, Volume 29, Pages 2695 to 2701. That talks about the issues with pediatric germ cell tumors in China, in like ten or eleven different cities related to water contamination. And then just some wordsmithing to be done within the document.

DR. BERGFELD: Any of the others also edited this particular article so that Jinqiu can review those? I think that we are not ready to push this out yet.

DR. COHEN: No.

DR. BERGFELD: So, we'll see what the re-review does and we get a look at it maybe next time or the following time, and continue discussion about where we're going to put it if we put it out into literature.

DR. BELSITO: I just think that there's going to be -- I mean, there are hours of work to condense this into a publishable article. I just don't think it's worth the time of CIR to make it publishable. It's out there. If you want to alert the dermatologist, we can write a Letter to The Editor talking about the safety of hair dyes and the conclusions that we made very succinct, and then we refer them to the website.

DR. COHEN: I actually like that very much.

DR. BERGFELD: I like it too.

DR. COHEN: Yeah, if we did a Letter to The Editor with a hotlink, because the journal's electronic largely. I mean, it's printed but most people access it electronically. I think that's a great idea.

DR. BELSITO: And then we can mention it's a living document and as new information becomes available it will be updated.

DR. COHEN: So, could we then create a charge that says, can we format a Letter to The Editor with just sweeping statements with a hotlink to this major document?

DR. BERGFELD: That's the best conclusion, yeah.

DR. BELSITO: Now I think if you want it to be available to dermatologists and to the general public, I think that's the best way to do it.

DR. BERGFELD: Courtney, you have an opinion on this?

MS. GRIFFIN: I do. I think that's a great idea. That would give consumers and other key stakeholders the opportunity to review it and do a deeper dive on the living document. I think that probably fits everyone's needs best.

DR. COHEN: Yeah.

DR. BERGFELD: Thank you.

DR. COHEN: That was the most important thing. It's just right now it's just hidden. It's hidden in plain sight, but nobody knows to look there.

MS. GRIFFIN: Yeah.

DR. BERGFELD: All right, I think we'll move on. I think the discussion was great and we have a plan at least.

DR. BELSITO: Bart has a comment.

DR. BERGFELD: Oh, I'm sorry, Bart?

DR. HELDRETH: No, that's okay. I was just going to say, okay, so what I'm hearing is that Jinqiu will rewrite the living document version of it, and we'll bring that back again for -- even though it's living -- a temporarily final approval. And also a letter to JAAD that the Panel can have a look at what the draft would look like before we would send that out. Are there any other media outlets that you would want us to notify?

DR. COHEN: If it goes into the JAAD, it might go into some of -- the JAAD has a newspaper that goes out. We can see if it could go -- make a comment about that updated hair dye epidemiology report available online. But the JAAD and the Derm Times, and those, they're pretty well monitored, people pick up a lot of things on those.

DR. BELSITO: Yeah, I guess the only thing that I will bring up for Courtney, is I don't believe that letters in the JAAD are identifiable by a PubMed search.

DR. COHEN: I don't know if that's --

DR. BELSITO: I don't know, but some journals the letters are not.

DR. COHEN: All right.

DR. BERGFELD: We can look into that.

DR. COHEN: I think that's a good question and we'll figure it out.

DR. BERGFELD: All right. I think we should move on. I think we've discussed this and we'll see it again. So we're going on to the FDA Nitrosation Impurities Guidance.

EXPERT PANEL FOR COSMETIC INGREDIENT SAFETY

Draft Resource Document

Hair Dye Epidemiology

06/2025

The Expert Panel for Cosmetic Ingredient Safety members are: Chair, Wilma F. Bergfeld, M.D., F.A.C.P.; Donald V. Belsito, M.D.; David E. Cohen, M.D.; Samuel M. Cohen, M.D., Ph.D.; Curtis D. Klaassen, Ph.D.; Allan E. Rettie, Ph.D.; David Ross, Ph.D.; Paul W. Snyder, D.V.M., Ph.D.; and Susan C. Tilton, Ph.D. The Cosmetic Ingredient Review (CIR) Executive Director is Bart Heldreth, Ph.D., and the Senior Director is Monice Fiume, M.B.A. This resource document was prepared by Jinqiu Zhu, Ph.D, D.A.B.T., E.R.T, D.C.S.T., CIR Toxicologist.

ABSTRACT

Hair dyes are composed of diverse chemical compounds, and their formulations vary by dye type. Some of these chemicals exhibit endocrine-activating, mutagenic, or carcinogenic properties, raising concerns about potential public health impacts. In response, the Expert Panel for Cosmetic Ingredient Safety (Panel) continuously reviews epidemiological studies to assess the potential association between personal hair dye use and cancer risk. This document presents a summary of key epidemiological data, categorized by study design (case-control, cohort, or meta-analysis) and cancer type. The quality of exposure assessment and the extent of adjustment for confounding variables were evaluated for the studies involved. Upon a comprehensive review of the available evidence, the Panel concluded that current hair dye epidemiology data do not provide sufficient evidence to support a causal relationship between personal hair dye use and cancer.

BACKGROUND

Hair dyes may be broadly grouped into oxidative (permanent) and direct (temporary or semi-permanent) dyes.^{1,2} Oxidative dyes, which account for over 70% of the market, involve a mixture of precursors, couplers, and developers that undergo chemical reactions to form the active dye. These products cause lasting chemical changes to the hair shaft. In contrast, direct dyes contain preformed colorants and typically do not penetrate the hair shaft, especially in the case of temporary dyes. The final hair color depends on the specific dye ingredients used, their concentrations, and the duration of application.³ Darker shades generally contain higher concentrations of colorants, whereas light shades (blond) contain less. During the application of hair dye, certain chemicals may be absorbed dermally in small amounts or inhaled as airborne fumes, potentially leading to systemic exposure. These exposures may contribute to health risks through mechanisms such as photo-oxidation or free radical formation.⁴ Serum metabolomic profiling revealed differences between hair dye users and nonusers, particularly in redox-related glutathione metabolism and metabolites linked to prostate cancer.⁵

Epidemiological Surveillance and Panel Review

Epidemiological studies examining potential associations between hair dye use and disease outcomes provide broad insights into public health concerns. These studies are periodically evaluated by the Expert Panel for Cosmetic Ingredient Safety (Panel). While such studies typically do not assess the safety of individual hair dye ingredients, the Panel considers them informative to inform on potential adverse events from personal use.

The Panel reviews newly available epidemiological studies addressing the personal use of hair dyes as a part of their safety evaluations regarding individual hair dye ingredients and as referenced in their safety assessments. Tables 1-3 summarize the epidemiology data according to study design (case-control, cohort, or meta-analysis) across different cancer types. Occupation as a hairdresser, barber, or cosmetologist/beautician involves routinely exposures to multiple products used in the workplace, making it difficult to attribute the observed health effects, if any, specifically to hair dye use.⁶ Therefore, studies on occupational exposure are not included in the present summary.

The Panel considers that epidemiological studies based on more detailed and accurate exposure information can yield more meaningful and interpretable findings. According to one review, exposure assessments in hair dye epidemiological studies varied in quality, ranging from minimal information, such as ever/never use, to more detailed self-reported data on dye type, color, duration, and frequency of use.⁷ In this review, a scale from + to ++++ has been developed to rate the quality of hair dye exposure assessments in hair dye epidemiology studies, as shown below. Such scale (referred to as Rollison et al. (2006) scale in the document) was applied to score the studies summarized in Tables 1-2:

- +: Assessed ever/never use;
- ++: Assessed the type of hair dye, *or* dye type plus dye color or duration, *or* with information on two or three other factors (color, frequency, duration), but no information on type;
- +++ : Assessed dye type, color, *and* frequency *or* duration of use;
- ++++: Assessed all four critical aspects: hair dye type, color, duration, and frequency of use.

These studies report their findings as either an odds ratio (OR) or a relative risk (RR, also called risk ratio)—two commonly used but distinct measures of association in epidemiological research. An OR represents the odds of an outcome (e.g., cancer) occurring in the exposed group compared to the odds in the unexposed group. In contrast, a

RR compares the actual probability of the outcome in the exposed group with that in the unexposed group.⁸⁻¹⁰ In cancer epidemiology, ORs are most often used in case-control (backward looking) studies, and RRs are used in prospective (forward looking) studies, such as cohort studies and clinical trials. OR can also be used in cross-sectional and cohort study designs as well (with some modifications and/or assumptions). An OR or RR of 1 indicates there is no difference between two groups in terms of risk following a particular exposure; an OR or RR < 1 means that the exposure may reduce the risk of cancer (possibly protective), while OR or RR > 1 means the exposure may increase the risk of cancer (possibly causal). Broadly equivalent to RR, hazard ratio (HR) is applied when the risk is not constant over time. It uses information collected at different times to simply compare two hazards.¹¹ A HR not equal to 1 indicates that two events are not occurring at an equal rate, and the risk of an event in one group is different than the risk of an event in another at any given time interval. The 95% confidence interval (CI) provides an estimate of the precision of an OR or RR. If the 95% CI includes the null value of 1, it indicates insufficient evidence to conclude a statistically significant difference between the groups.

The International Agency for Research on Cancer (IARC) working group assessed the carcinogenic potential of diverse hair dyes constituents through animal studies, and further reviewed pertinent epidemiological data on multiple cancer types, such as breast, bladder and hematological cancers.^{12,13} The group concluded that while animal studies provided limited evidence for the carcinogenicity of hair colorants, the overall data lacked sufficient quality, consistency, and statistical power to determine a causal relationship between personal hair dye use and cancer. Due to insufficient evidence from human studies, IARC considers personal hair dye use “not classifiable as to its carcinogenicity to humans” (classified as Group 3).^{2,14} The working group also evaluated occupational exposure among hairdressers, barbers, and beauticians, who are likely to experience more frequent and prolonged exposure to hair dyes than the general population. Based on limited evidence of an increased risk of bladder cancer among hairdressers and barbers, IARC classified occupational exposure to hair dyes as “probably carcinogenic to humans” (classified as Group 2A).¹⁵ Evidence regarding other cancer types, however, was considered inconsistent or inadequate. Some studies suggest a potential increased risk of cancers such as bladder cancer and hematopoietic malignancies, while others report no statistically significant associations, while others have not found statistically significant associations.¹⁶⁻¹⁸ These discrepancies may be due to variations in study design, differences of hair dye formulations and workplace exposure conditions, as well as confounding factors such as smoking and age. However, the evaluation of occupational safety falls outside the scope of the Panel’s review.

Ingredient-Specific Risk Assessment

Studies examining exposure to specific ingredients or impurities contained within complex hair dye formulations, particularly those known to be associated with specific cancer types, enable cancer risk assessments related to cumulative hair dye use. For instance, a risk assessment was performed to examine the risk of bladder cancer in humans from exposure to 4-aminobiphenyl (4-ABP) during consumer use of hair dye.¹⁹ 4-ABP, classified as a human bladder carcinogen by IARC, can be present as a trace contaminant in *p*-phenylenediamine (PPD) (an oxidative hair dye ingredient). Concentrations of 4-ABP in consumer hair dyes have been reported to range from 0.15 ppb (below the limit of detection) to 8120 ppb, resulting in estimated maximum systemic exposure doses (SEDs) between 0.05 and 3,000 pg/day (in consideration of dermal application of hair dye). The California’s Office of Environmental Health Hazard Assessment (OEHHA) has established the no-significant-risk-level (NSRL) of cancer for 4-ABP at 0.03 µg/day.²⁰ A margin of safety (MoS) was calculated as the ratio of NSRL to the SED, based on a conservative model assuming that a consumer uses permanent hair dye on a daily basis. The resulting MoS ranged from 10 to 570,000, which suggested there was no indication of increased cancer risk in humans from exposure to 4-ABP during consumer hair dye applications. (NSRL is defined as the daily intake level posing a 10⁻⁵ lifetime risk of cancer; an MoS of greater than 1 indicates an exposure scenario with a low likelihood of increased risk.) Furthermore, consumer use of oxidative hair dye is considerably less frequent than every day; thus, such MoS can be considered quite conservative.

The Threshold of Toxicological Concern (TTC) approach may also serve as a pragmatic tool for the safety assessment of hair dye ingredients with low consumer exposure, particularly under intermittent use scenarios (e.g., every 4 - 6 weeks for oxidative hair dyes and every 2 - 3 weeks for direct hair dyes (e.g., every 4 - 6 weeks for oxidative hair dyes and every 2 - 3 weeks for direct hair dyes).²¹ In a case study taking direct hair dye Basic Blue 124 as an example, the potential maximum consumer exposure was estimated at 0.32 µg/kg body weight (bw) for a 60 kg individual. This estimate was based on an in vitro skin penetration rate of 0.033 µg/cm² (mean +1 SD) and a total exposure of 19.1 µg per hair dyeing event. This maximum acute consumer exposure is about 7-fold below the TTC value of 2.3 µg/kg bw/day for Cramer Class III substances—chemicals with structural features that permit no

strong initial impression of safety and may even suggest a significant toxicity—as defined by Yang et al. (2017).²² Further refinement of the safety assessment is feasible given the intermittent nature of consumer exposure scenario in real-life situations (i.e., no more frequent than every 2 - 3 weeks for a direct hair dye use).

FDA Regulation and Historical Context

Under the US Federal Food, Drug, and Cosmetic Act (FD&C Act, 601(e)), “coal-tar hair dyes,” also called synthetic-organic colors – including permanent, semi-permanent, and temporary products made from synthetic-organic compounds – are exempt from premarket approval requirements if labeled with a mandated caution statement and skin test instructions.²³ In comparison, plant- or mineral-based hair colorants (e.g., henna, bismuth citrate) are regulated as standard color additives and must be US Food and Drug Administration (FDA)-approved. The US FDA advises consumers to follow all label directions, perform patch tests before each use, and avoid use on eyelashes or eyebrows due to risks of severe eye injury.

In the 1980s, animal studies identified certain coal-tar hair dye ingredients such as 2,4-diaminoanisole and its sulfate, as carcinogenic.²³ In response, the FDA required warning labels on products containing these substances, which have since been removed from hair dye formulations. While FDA continues to monitor ongoing research and collect adverse event reports, there is currently no reliable evidence that coal-tar hair dyes on the market today cause cancer in humans. Consequently, exposures to hair dyes from decades ago—particularly those occurring before 1980—may not be representative of current product use or risk. However, some meta-analyses may involve weighted studies with case subjects who started to use hair dyes before 1980, and these studies have reported positive associations with cancer risk. When evaluating epidemiological studies of cancer and hair dye use, it is important to recognize that hair dye exposure is often one of many variables considered. Known carcinogenic risk factors such as smoking and alcohol consumption may not be adequately controlled for in some studies, potentially confounding the results. In addition, hair dye formulations may vary by region depending on where the products are manufactured and marketed, making both the specific product used and the timing of use important contextual factors. Interpreting epidemiologic evidence requires careful assessment of study strengths, the diversity of populations examined, baseline cancer risks, and environmental conditions in the study regions. Given the potential for confounding and the inconsistent characterization of exposure across studies, accurately assessing hair dye exposure and its sources remains a significant methodological challenge in determining the relevance of epidemiological data.²⁴

Hair dye formulations have changed over time, with ongoing efforts to monitor and mitigate potential risks associated with their use. Development of safer alternatives to PPD with minimal allergic and carcinogenic potential is in progress, such as monoethanolamine (MEA)-based ammonia-free permanent hair dye without PPD.²⁵ The FDA provides detailed safety recommendations for individuals using hair dyes, emphasizing proper use to minimize potential health risks.²³ Recommendations include performing a patch test before each application, avoiding contact with eyes, wearing gloves during use, and adhering strictly to product instructions. Users are also advised to avoid dyeing their hair if the scalp is irritated or recently treated with chemical processes. The FDA continues to monitor chemical and toxicological research related to hair dye safety and actively collects adverse event data. To date, the FDA considers no reliable evidence has demonstrated a link between cancer and the use of currently marketed coal-tar hair dyes.²³

The following section summarizes key epidemiological studies published since approximately 2010, along with earlier studies incorporated into comprehensive reviews, including the 2010 IARC monograph.¹² The Panel will continue to monitor emerging epidemiological data regarding the potential association between personal use of hair dyes and cancer risk. The conclusion presented in this resource document will be periodically re-evaluated as new data become available.

STUDY SUMMARY

Multiple Cancer Type Measurements

A prospective cohort study was performed to comprehensively investigate the relationship between cancers in US women and use of permanent hair dye.²⁸ The participants included 117,200 women enrolled in the Nurses' Health Study who were free of cancer and reported personal use of permanent hair dyes at baseline. During 36 years of follow-up (between 1976 - 2012), a total of 20,805 solid cancers and 4860 cancer related deaths were

documented. This hair dye exposure assessment was +++ on the Rollison et al. (2006) scale. Overall, no association was identified between ever-users of permanent hair dyes and risk of solid cancers under investigation (HR 0.98, 95% CI: 0.96 - 1.01; n = 20,805). Specifically, there is no significant increases in risk of the following cancer types: cutaneous squamous cell carcinoma, bladder cancer, melanoma, breast cancer, brain cancer, colorectal cancer, kidney cancer, lung cancer, ovarian cancer, and all hematopoietic cancer; while basal cell carcinoma risk was slightly increased for ever-users (HR 1.05, 95% CI: 1.02 - 1.08; n = 22,560). An increased risk of Hodgkin lymphoma was also observed for women with naturally dark hair (HR 3.89, 95% CI: 1.61-9.40; n = 24). When basal cell carcinoma and cutaneous squamous cell carcinoma were excluded from analysis, the overall HR for all cancers under investigation was 1.00 (95% CI: 0.96 - 1.05). Additionally, ever-users did not have an increased risk of cancer-related deaths (HR 0.96, 95% CI: 0.91 - 1.02). Interestingly, self-administered questionnaires indicated hair dye ever-users were more likely to be smokers and consumed more alcohol than those reporting no permanent hair dye use. But the authors also claimed that the generalizability of current findings is limited to white US women and might not extend to other populations; cohort was not randomly sampled from US women, enrolled only nurses and more than 96% of the women had European ancestry.

In a prospective cohort study conducted in the framework of the Shanghai Women's Health Study, a total of 70,366 women completed a baseline survey between 1996 and 2000 and were followed up to 2005.²⁹ In the sample, 29,076 women reported ever using hair dye and a total of 2437 women were diagnosed with cancer during follow-up. Hair dye users had a median age of 51 years, and a mean of 3.8 years of use. The evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. Generally, no evidence of an association was identified between personal use of hair dye and cancer risk. Compared with no use, ever-users had an overall cancer risk of 0.89 (95% CI: 0.82 - 0.97, n = 2437), adjusted by age, education, and smoking. No significant association was observed for common cancers, including cancers of the breast, colorectum, lung, stomach, uterine, ovarian, thyroid, kidney, brain cancer, hematopoietic, or their subtypes, including non-Hodgkin lymphoid neoplasms (NHL), and leukemia. No relation was documented between duration of hair dye use and risk of cancer. Stratification by menopausal status indicated no association between breast cancer and hair dye use in either pre- or post-menopausal women.

A prospective cohort study included 573,369 women who were enrolled in Cancer Prevention Study II (CPS-II) of American Cancer Society in 1982.³⁰ The participants aged ≥ 30 years, with a median age of 56 years, and were followed up to 1989. The evaluation of hair dye exposure was ++ on the Rollison et al. (2006) scale. Overall, permanent hair dyes showed a decreased risk of all fatal cancers combined (RR = 0.93, 95% CI = 0.89 - 0.98), and of urinary system cancers (RR = 0.65, 95% CI = 0.49 - 0.87). Note this study relied on mortality rather than incidence to define disease. Specifically, no increase in risk of any type of the following cancer types: breast, bladder, brain and other nervous system, digestive system, respiratory system, oral cavity and pharynx, and all hematopoietic cancer. Women who had used black hair dyes for 20 years (0.6% of women hair dye users) or more had an increased risk of fatal NHL (RR = 4.37, 95% CI = 1.3 - 15.2; n = 3) and multiple myeloma (RR = 4.39, 95% CI = 1.1 - 18.3; n = 2). However, it should be noted the number of cases was very small, limiting the statistical power of these sub-analyses.

One meta-analysis identified 79 studies, carried out in 11 countries, to examine the association between personal use of hair dyes and relative risk of several types of cancer.³¹ Retrieved studies were published in any language between 1966 - 2005, with special focus on extensive use (> 200 lifetime episodes) of permanent dyes, and excluded those dealing with occupational exposure. Study-specific relative risks were weighted by the inverse of their variance (study heterogeneity) to obtain fixed- or random-effects pooled estimates. The pooled RR for ever-users of hair dyes was 1.06 (95% CI, 0.95 - 1.18) for breast cancer (14 studies), 1.15 (95% CI: 1.05 - 1.27) for hematopoietic cancers (40 studies), and 1.01 (95% CI: 0.89 - 1.14) for bladder cancer (10 studies), as summarized below under divided section for specific type of cancer. Some cancers were examined by only 1 or 2 studies. The pooled RRs of the 2 studies available were 1.83 (95% CI: 1.16 - 2.89) for brain tumors, 1.71 (95% CI: 1.15 - 2.53) for ovarian cancer, 0.74 (95% CI: 0.51 - 1.07) for skin cancer, and 0.89 (95% CI: 0.53 - 1.9) for cervical cancer. The single case-control study on cancers of salivary glands showed the OR was 2.3 (95% CI: 0.9 - 6.2) and 3.5 (95% CI: 0.9 - 12.8) for hair dye use ≤ 15 years or >15 years, respectively.³²

In a systematic review evaluating the association between hair product use and gynecologic conditions (both benign and malignant), a total of 17 studies were included.³³ A sub-analysis revealed 1.08-fold increased risk of breast cancer among women using permanent hair dye (95% CI: 1.0 - 1.15). No increased risk was observed among white women using either permanent hair dye (HR = 1.07, 95% CI: 0.8 - 1.26) or semi-permanent hair dye (HR =

0.92, 95% CI: 0.50 - 1.33). No association was found between hair dye use and uterine cancer. Overall, the authors concluded that the current evidence on the personal use of hair products and gynecologic conditions is insufficient to establish a positive association.

Breast Cancer

A national prospective cohort Sister Study was carried out to examine the association between hair dye and straightener use and breast cancer risk by ethnicity.³⁴ Study participants were 46,709 women aged 35 - 74 and came from all 50 states in the US and Puerto Rico. Subjects included women who did not have a breast cancer diagnosis at the time of study recruitment (between 2003 - 2009) and who had 1 or more sisters diagnosed with breast cancer. This hair dye exposure assessment was ++++ on the Rollison et al. (2006) scale. Compared to nonuse, use of permanent dye was associated with 45% higher breast cancer risk in black women (HR 1.45; 95% CI: 1.10 - 1.90, n = 102), and 7% higher risk in white women (HR 1.07; 95% CI: 0.99 - 1.16, n = 1338). A higher breast cancer risk was also observed in light-colored dye (HR 1.12; 95% CI: 1.02 - 1.23, n = 713), compared to dark-colored dye (HR 1.08; 95% CI: 0.98 - 1.19, n = 683). Non-professional application of semi-permanent dye to others was associated with breast cancer risk (HR 1.28, 95% CI: 1.05-1.56; n = 105), while association was not found for non-professional application of permanent dye to others (HR 0.99, 95% CI: 0.85-1.15; n = 188). However, no significant association was seen between permanent hair dye use and breast cancer risk in both white and black women when the analysis was stratified by durations of use: HR was 0.97 (95% CI: 0.70 - 1.34, n = 59) and 1.06 (95% CI: 0.97 - 1.16, n = 1177) for duration of use \geq 5 years in black women and white women, respectively. In addition, semi-permanent dye and temporary dye use was not associated with risk. While the present study suggested a higher breast cancer risk associated with permanent hair dye use, particularly among black women, several limitations should be considered before generalizing the findings. The cohort consisted of women with a family history of breast cancer, indicating an inherently elevated baseline risk.³⁵ In addition, although age was used as the time scale in the Cox proportional hazards model, the analysis did not explicitly account for variations in hair dye use across different age groups, even though hair dye behaviors are likely influenced by age-specific patterns.³⁵ An unexpected observation was the lower reported frequency of permanent dye use among postmenopausal women compared to premenopausal women. Environmental and nutritional factors, accounting for significant proportions of endocrine-disrupting chemicals (EDCs) exposure, are also important. Furthermore, social and cultural factors may influence patterns of both hair dye use and perceived breast cancer risk, particularly between black and white women.³⁶ These potential confounders were not addressed in the current study and warrant further investigation.

Based on the same Sister Study cohort described above, the association between adolescent use of hair dye (subjects reported their hair dye use at ages of 10 to 13 years, n = 47,522) and breast cancer risk were further investigated.³⁷ The evaluation of hair dye exposure was ++ on the Rollison et al. (2006) scale. Over 10 years of follow-up, 3380 cases of breast cancer were diagnosed. Adolescent use of either permanent or semi-permanent hair dye was uncommon (< 3%), and hair coloring products were not associated with breast cancer risk overall (HR 0.97, 95% CI: 0.78 - 1.20) or by menopausal status. With consideration of race/ethnicity, permanent dye use was associated with a higher risk among black women (HR 1.77, 95% CI: 1.01 - 3.11); however, it should be noted such analysis was based on a small number of exposed cases (n = 13); in comparison, among white women (exposed cases n = 70), the association between hair dyes use and incident breast cancer was not identified (HR 0.93, 95% CI: 0.74 - 1.18). In addition, black women who reported using permanent hair dye during adolescence reported also using permanent hair dye in the 12 months prior to study baseline (n = 10 of 13 exposed cases); thus, the authors stated they could not reliably estimate the association of only using permanent hair dye during adolescence.

A case-control study was conducted, including 191 breast cancer patients interviewed in a hospital in 1975 - 1976 in the UK, with 561 sex, age (within three years), marital status, and social class matched controls.³⁸ The evaluation of hair dye exposure was a ++ on the Rollison et al. (2006) scale. 73 cases and 213 controls had used permanent or semi-permanent hair dyes, giving an RR of 1.01 (95% CI was not available). There was no evidence of an increasing risk for breast cancer with increasing duration of use of hair dyes or with use beginning more than four or over nine years (RR = 0.95) before diagnosis.

A case-control study consists of 50 breast cancer patients at a cancer treatment center with 100 hospitalized controls in London, Ontario, and 35 breast cancer cases with 70 neighborhood controls in Toronto, Ontario.³⁹ The evaluation of hair dye exposure was a ++ on the Rollison et al. (2006) scale. The RRs for breast cancer from use of permanent hair dye (at any time) were 1.30 (95% CI: 0.60 - 2.50) in London and 1.10 (95% CI: 0.50 - 2.40) in Toronto. Further statistical analyses, allowing for smoking habits, family history of cancer and age at first birth, showed no significant relationship between hair-dye use and breast cancer incidence.

A hospital-based case-control study was performed among 398 breast cancer patients at a screening center between 1977 and 1981 in New York City (NYC), with 90 randomly selected controls.⁴⁰ The evaluation of hair dye exposure was a ++++ on the Rollison et al. (2006) scale. The OR for breast cancer from ever-use of hair dyes was 0.80 (95% CI: 0.60 - 1.10). There was also no evidence of a trend in risk with increasing number of hair dye uses (38% of the subjects had used hair dye at least 100 times, while 77% had used hair dye at least once). An analysis of breast cancer risk in women working as beautician for ≥ 5 years was also performed. Although personal hair dye use was unrelated to breast cancer risk, the OR for beauticians was 3.00 (95% CI: 1.10 - 7.80).

A population-based case-control study in Finland recruited a total of 6567 breast cancer patients diagnosed between 2000 and 2007 and 21,598 age-matched controls.⁴¹ The evaluation of hair dye exposure was a +++ on the Rollison et al. (2006) scale. The recruitment of patients was based on a nationwide cancer registry covering almost 100% of solid tumors. A large proportion of women reported ever-use of hair dye products, with rates increasing from 84% in women born before 1950 up to 92% in women born in or after 1960. The odds of breast cancer were significantly increased when comparing ever vs never users (OR 1.23, 95% CI: 1.11 - 1.36). Early age at first dye (20 -29 years) was associated with higher odds of breast cancer when compared to late age at first dye (40 years or later) (OR 1.14, 95% CI: 1.05 - 1.25). When considering ever-use vs. non-use, the ORs were increased with all the different types of hair dyes, the highest estimates being obtained for women who reported to have used temporary and semi-permanent dyes, ORs being 1.32 (95% CI: 1.16 - 1.52) and 1.31 (95% CI: 1.17 - 1.46), respectively. Latency of effect was suggested by the fact that the OR for cumulative hair dye use was the highest among women born between 1950 and 1959. When considering the cumulative number of hair dye episodes, the OR ranged from 1.07 (1 - 2 dye episodes) to 1.28 (10 - 34 dye episodes) and 1.31 (35 - 89 dye episodes), and then decreased to 1.25 (≥ 90 dye episodes). The ORs did not change when smoking was included in the multivariate analysis.

A hospital-based case-control study of breast cancer was conducted on 1052 women in Iran.⁴² The evaluation of hair dye exposure was + on the Rollison et al. (2006) scale. There were 526 newly diagnosed breast cancer cases, with 526 age-matched controls randomly selected in Namazi Hospital between 2014 and 2016. The study showed that multiple factors were associated with the risk of breast cancer, such as hair coloring, age at first delivery, stress, and smoking. The OR of breast cancer from hair dye use on a regular basis compared to no use was 1.93 (95% CI: 1.41 - 2.62). However, the design of the study was not able to confirm a causal association between any investigated variables and breast cancer.

In a population-based case-control study involving African American and European American women from the Women's Circle of Health Study (WCHS), conducted in the metropolitan NYC area and ten counties in New Jersey (NJ), breast cancer cases were identified by multiple sources, including hospital charts and NJ cancer registry.⁴³ Women were recruited in NYC between 2002 and 2008, and in NJ between 2006 and 2014. The subjects were 1508 African American and 772 European American cases and 1290 African American and 715 European American age- and county-matched control subjects. The evaluation of hair dye exposure was ++++ on the Rollison et al. (2006) scale. Overall, ever-use of hair dyes and duration of use were not significantly associated with increased cancer risk in both African Americans (OR 1.12, 95% CI: 0.95-1.32) and European Americans (OR 1.07, 95% CI: 0.86-1.32). Among African Americans, an increased risk of breast cancer was documented for the use of dark hair dye shades, and for salon application of dyes, adjusted OR being 1.52 (95% CI: 1.21 - 1.91) and 1.26 (95% CI: 1.00 - 1.58), respectively. In European Americans, an increased risk was documented for dual use of relaxers and hair dyes with OR 2.40 (95% CI: 1.35 - 4.27), the wide CI reflecting the limited number of exposed women. When considering the estrogen receptor (ER) status of cancer, the risk of estrogen positive breast cancer was increased in African Americans with a higher frequency of hair dye use (OR 1.36, 95% CI: 1.01 - 1.84) and in European Americans with the use of dark hair dye shades (OR 1.54, 95% CI: 1.01 - 2.33). These differences in risk profile between African Americans and European Americans are not easy to reconcile. They may reflect different patterns of use, or represent chance effects due to multiple testing.²⁴

In the following case-only analysis conducted by the same research group, 2998 women with breast cancer enrolled in both WCHS and Women's Circle of Health Follow-up Study (WCHFS), were included to examine whether certain characteristics of use of hair dyes and relaxers were associated with more aggressive tumor features, such as larger tumor size, higher tumor grade, positive lymph node status, etc.⁴⁴ The participants (2227 African Americans and 771 European Americans) were recruited from 2001 to 2018, with the mean age 53.3 ± 10.6 years at diagnosis. Compared to salon application of permanent hair dye, home kit and combination application (both salon and home kit use) were associated with increased odds of poorly differentiated tumors. In the overall study sample of breast cancer cases (both African American and European American women), home kit OR was 1.41 (95% CI:

0.87 - 2.29), and combination OR was 2.27 (95% CI: 1.36 - 3.82). Similar associations were also found among ER+ cases (home kit: OR 1.47, 95% CI: 0.82 - 2.63; combination: OR 2.98, 95 % CI: 1.62 - 5.49), but not ER- cases. Longer duration and earlier use of relaxers (before age 12 years) and combination application of permanent hair dyes and relaxers seemed to be associated with breast tumor features including higher tumor grade and larger tumor size, although the risk estimates did not reach statistical significance. The authors stated the current study did not assess the changes in hair dye and/or chemical relaxer/straightening product formulations over time might have impacted the observed risk estimates.

One meta-analysis summarized results of studies conducted from 1966 up to 2005,³¹ and included 12 case-control studies, which involved a total of 5019 cases and 8486 controls, and 2 cohort studies which recruited a total of 665,993 participants with 1135 incident cases of breast cancer. The pooled RR of breast cancer was 1.06 (95% CI: 0.95 - 1.18) and not significant when comparing ever-use vs. never-use of hair dyes. No significant increased risk was documented when considering intensive exposure (≥ 200 times) or restricting analyses to the use of permanent dyes only. It was noted that, giving the largely prevalent use of hair dyes in the population, frequency of use rather than simple distinction between users and non-users, would be relevant to consider.

A meta-analysis was performed to investigate the association between hair dye use and breast cancer, including 8 case-control studies published between 1980 and 2017 with a total of 11,079 cases and 26,958 controls.⁴¹ Of the 24 studies initially considered relevant, only 8 were considered to meet the authors' selection criteria, while 5 prospective studies which did not show any association between hair dye use and breast cancer, were not considered. Using a random-effects model, the pooled RR for women using hair dyes was 1.18 (95% CI: 1.03 - 1.37). Due to the lack of accurate information regarding different exposure characteristics across multiple studies included in this meta-analysis, the authors stated such meta-analysis did not provide insights into the dose-effect relationship or the chemical constituents implicated in potential causation.

A meta-analysis was conducted to examine the relationship between hair dye use and breast cancer risk.⁴⁵ The analyzed data comprised 11 case-control studies and 3 prospective cohort studies with 210,319 subjects from the North America, Asia, Europe, and Australia. The results suggested a slightly increased breast cancer risk in hair dyes users (pooled OR = 1.07; 95% CI: 1.01 - 1.13). No impact was identified on the overall correlation between hair dyes and breast cancer risk when subjects were stratified by race, duration of use or dye color.

Ovarian Cancer

In a prospective cohort, 40,559 Sister Study (described above) participants aged 35 - 74 at enrollment (2003 - 2009) were included to assess the potential associations of permanent hair dye use and the occurrence of ovarian cancers.⁴⁶ Over an average of 10 years of follow-up, 241 women were diagnosed with ovarian cancer. The evaluation of hair dye exposure was a ++++ on the Rollison et al. (2006) scale. No positive association was observed between incident ovarian cancer with ever-use of permanent (HR 1.07, 95% CI: 0.82 - 1.39), semi-permanent (HR 1.17, 95% CI: 0.85 - 1.60) and temporary dyes (HR 0.75, 95% CI: 0.45 - 1.26). Findings were similar when ovarian cancer cases were limited to those confirmed by medical records. In addition, more frequent use of hair dye (> 4 times) or duration use (≥ 10 years) was not associated with an increased risk of ovarian cancer compared to never use. Notably, when ovarian tumors were stratified by serous versus non-serous type, ever-use of permanent hair dye was positively associated with non-serous tumors (HR 1.94, 95% CI: 1.12 - 3.37), but inversely associated with serous (HR 0.65, 95% CI: 0.43 - 0.99) tumors (heterogeneity $p = 0.002$).

Uterine Cancer

In a national prospective cohort study (the Sister Study described above), associations were investigated between hair dye use and incident uterine cancer among 33,947 participants aged 35 - 74 years who had a uterus at enrollment (2003 -2009).⁴⁷ The evaluation of hair dye exposure was a ++++ on the Rollison et al. (2006) scale. Over a mean follow-up of 10.9 years, 378 uterine cancer cases were identified, reported as a diagnosis of endometrial cancer, uterine sarcoma, or other types of cancer in the uterus after enrollment. Use of any type of hair dye was not associated with an increased rate of uterine cancer. For example, the HR of permanent hair dye ever-use was 0.90 (95% CI: 0.74 - 1.11, $n = 185$), of use frequency > 4 times in the past 12 months prior to baseline was 0.69 (95% CI: 0.42-1.14, $n = 121$), of use duration ≥ 10 years was 0.69 (95% CI: 0.42 - 1.14, $n = 112$).

Hematologic Cancer

A population-based case-control study of NHL was performed in Connecticut, USA.⁴⁸ There were 601 female cases (aged 21 - 84 years), and 717 age-matched (\pm 5 years) controls from Connecticut Tumor Registry database. The evaluation of hair dye exposure was a +++ on the Rollison et al. (2006) scale. Exposure information of hair dye use included type, cumulative applications, dye colors, and duration of use. An increased risk of NHL was observed among women who reported use of hair dyes before 1980 (OR 1.3, 95% CI: 1.0 - 1.8). In comparison, no increased risk of NHL overall and by subtype was found among women who started using hair-coloring products in 1980 or later (OR 0.9, 95% CI: 0.7 - 1.3). Further stratified analysis by subtype of NHL showed that Follicular type, B-cell, and low-grade lymphoma were associated with an increased risk with permanent hair dye uses prior to 1980.

In a population-based case-control study on NHL conducted in the USA, there were 1321 cases (aged 20 - 74 years) and 1057 age-, sex-, race-, and residency-matched controls from Iowa, Los Angeles County, metropolitan Detroit, and metropolitan Seattle.⁴⁹ The evaluation of hair dye exposure was a ++++ on the Rollison et al. (2006) scale. There was no overall association between permanent, semi-permanent and temporary hair dye use and NHL risk among women or men. Risk estimates were higher for use before 1980 than for use after 1980, particularly for use of permanent, intense tone (black, dark brown, dark blonde) products ($<$ 1980: OR = 1.6, 95% CI: 0.9 - 2.7; \geq 1980: OR = 0.6, 95% CI: 0.4 - 1.1). In subgroup analysis, women with \geq 100 lifetime applications had an elevated OR of 1.4 (95% CI: 1.0 - 2.0). Increased risk was also observed in women who used permanent, intense color tone products for \geq 15 years prior to 1980 (OR = 3.9, 95% CI: 1.2 - 12.5), but no consistent dose-response patterns were observed with frequency, duration, or total lifetime applications.

A hospital-based case-control study of acute myeloid leukemia (AML) was conducted in Shanghai, China.⁵⁰ The investigation consisted of 722 newly diagnosed AML cases and 1444 individually gender-age-matched patient controls at 29 hospitals in Shanghai. AML cases were further stratified to four subgroups, including AML-RCA (AML with recurrent cytogenetic abnormalities), APL (Acute promyelocytic leukemia), AML-MD (AML with multilineage dysplasia) AML-noc (AML not otherwise categorized). The evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. There was no increase in the risk of personal use of hair dyes with AML-total (OR 0.98, 95% CI: 0.80 - 1.20), or the subgroups. In comparison, the study identified a number of risk factors for AML, such as smoking, particularly among the male subjects, as well as alcohol consumption and a low level of education.

A hospital-based case-control study was conducted on 649 NHL cases in Shanghai, China.⁵¹ The analysis included 1298 controls and the evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. There was no increase in the risk of personal use of hair dyes with NHL-total (OR 0.93, 95% CI: 0.75 - 1.16), or any of its subtypes, such as B-Cell neoplasms (OR 0.94, 95% CI: 0.74 - 1.19), follicular lymphoma (FL) (OR 1.57, 95% CI: 0.72 - 3.46), and T/NK-cell neoplasms (OR 0.88, 95% CI: 0.48 - 1.61). For chronic lymphocytic leukemia (CLL) and small lymphocytic lymphoma (SLL), a significantly lower risk was associated with hair dye use (OR 0.37, 95% CI: 0.18 - 0.76).

Tissue samples from a population-based case-control study of NHL in males from Iowa and Minnesota were re-analyzed by FISH (fluorescence in situ hybridization) and immunohistochemistry (IHC) assays to evaluate the associations between hair dye use and NHL $t(14;18)$ -subtypes and $bcl-2$ case-subtypes.⁵² Evaluation of hair dye exposure scored + on the Rollison et al. (2006) scale. A statistically-significant association was observed between ever/never use of hair dyes and $t(14;18)$ -negative NHL (OR 2.90; 95% CI: 1.60 - 5.00) and $bcl-2$ positive NHL (OR 2.20, 95% CI: 1.40 - 3.40), but not with $t(14;18)$ -positive NHL or $bcl-2$ negative NHL.

A hospital-based case-control study of myelodysplastic syndromes (MDSs) was performed in China.⁵³ There were 403 diagnosed cases and 806 gender and age-matched patient controls from 27 major hospitals in Shanghai. The evaluation of hair dye exposure was a ++ on the Rollison et al. (2006) scale. In a univariate analysis, the OR for hair dye use (\geq 2 times per year) and all MDSs was 1.46 (95% CI: 1.03 - 2.07). In a multivariate analysis performed to adjust for potential confounding factors, the OR was not statistically significant (OR 1.31, 95% CI: 0.88 - 1.93), indicating that hair dye use (\geq 2 times/year) was a relative risk factor, not an independent risk factor. Associations were also examined between hair dye use (frequency and duration) with MDS subtypes refractory anemia with excess of blasts (RAEB) and refractory cytopenia with multiple dysplasia (RCMD), all results were negative. In comparison, smoking was associated with the development of MDSs in the univariate analysis and with RAEB in both the univariate and multivariate analyses.

A hospital-based case-control study was conducted to investigate the hair dye use in the etiology of leukemia and lymphoma in Egypt.⁵⁴ There were 130 cases, including 23 cases of CLL and 107 cases of NHL, and 130 age and sex-matched controls. The evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. In a univariate analysis, no statistically significant association was found between these lymphoproliferative disorders and history of using hair dyes, family history of cancer, exposure to X-rays, or smoking ($\chi^2 = 0.47$, $p > 0.05$).

A population-based case-control study was conducted to evaluate whether the hair dye use influenced the risk of leukemia and NHL in Italy.⁵⁵ The analysis was restricted to women in the population studies because too few of the men reported any hair dye use. There were 161 cases (120 lymphoid and 41 myeloid) and 84 controls among the women. The evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. In a multivariate analysis, the OR was 2.3 (95% CI: 1.0 - 4.9), with $p = 0.036$ for a trend, for NHL in women using hair dye for at least 15 years. No association was found between lymphoid malignancies and tobacco smoking or the consumption of alcoholic beverages in this study.

A hospital-based case-control study was performed in Pakistan.⁵⁶ The analysis comprised 25 adult leukemia cases with 50 gender- and marital status-matched controls, and 40 child cases with 80 age- and gender-matched controls. The hair dye exposure assessment was a + on the Rollison et al. (2006) scale. Increased leukemia risk was observed among hair dye users. The un-adjusted OR was 4.14 (95% CI: 1.28 - 4.95) for adults who reported ever-use of hair dye and 4.60 (95% CI: 1.57 - 4.60) for children (whose mothers reported their ever-use of hair dye during interview), respectively. Other factors significantly relevant to leukemia status included exposure to chemical factory, a positive family history of leukemia, a positive trauma history, etc.

A hospital-case-control study was carried out in China to investigate the relationship between childhood leukemia and breastfeeding.⁵⁷ The subjects included 958 cases (580 boys, 378 girls) and 785 controls (449 boys, 336 girls) within the period between 2008 and 2017 at the Children's Hospital of Zhejiang University. The hair dye exposure assessment was a + on the Rollison et al. (2006) scale. Multivariable regression analysis indicated that mothers' use of hair dye during breastfeeding was a significant risk factor for childhood leukemia (OR 13.56, 95% CI: 1.11 - 165.20). In addition, multiple other factors were identified to be associated with increased risk of childhood leukemia, such as smoking during pregnancy, a history of using birth control pills before pregnancy, abortion history, and mothers having lower education level.

A hospital-based case-control study was conducted among acute lymphocytic leukemia (ALL) cases in Iran, involving 125 cases (age younger than 15 years) and 130 controls matched with age, gender, and residence location.⁵⁸ The evaluation of hair dye exposure scored + on the Rollison et al. (2006) scale. No significant association was observed between the risk for ALL and mother's use of hair dye during pregnancy (OR 0.87, 95% CI: 0.32 - 2.37).

A meta-analysis involving 31 case-control studies and 9 cohort studies was carried out to examine the association between hair dye use and the incidence of hematopoietic cancers (including NHL, Hodgkin lymphoma, multiple myeloma, and leukemia).³¹ When all hematopoietic cancers were analyzed together, the pooled RR for ever-users of hair dye was 1.15 (95% CI, 1.05 - 1.27). The increased risk is restricted to case-control studies (pooled RR 1.23, 95% CI: 1.09 - 1.39); in comparison, no risk increase was observed when all cohort studies were combined (pooled RR 1.01, 95% CI: 0.89 - 1.16). More specifically, the increase in case-control studies is restricted to the 17 case-control studies with data on men (pooled RR 1.57, 95% CI: 1.33 - 1.84). No cohort study with data on men was available for comparison. Additionally, the results of intensive exposure (i.e., defined as more than 200 lifetime exposures to hair dye.) did not show any association between hair dyes exposure and hematopoietic cancers (RR 1.12, 95% CI: 0.98 - 1.28).

A meta-analysis involving 4 case-control studies was performed to examine the link between personal use of hair dye and risk of NHL.⁵⁹ The analysis included 4461 cases and 5799 controls that were enrolled in the International Lymphoma Epidemiology Consortium (InterLymph) 1988 - 2003. Increased risk of NHL (pooled OR 1.3, 95% CI: 1.1 - 1.4) was observed among women who began using hair dye before 1980, but not among women who started use in 1980 or later (pooled OR 1.1, 95% CI: 0.9 - 1.2). Further stratified analyses by NHL subtype were conducted in subjects who started using hair dyes before 1980. The results indicated increased risk for FL (OR 1.4, 95% CI: 1.1 - 1.9) and CLL/SLL (OR 1.5, 95% CI: 1.1 - 2.0) but not for other NHL subtypes. In addition, risk of NHL was not associated with hair-dye use before or after 1980 among men.

A meta-analysis of 19 case-control studies of NHL subtypes was conducted, focusing on FL.⁶⁰ No associations between FL and hair dye use type, duration, or frequency were found in this study, except for a modest increase in

women who used hair dyes before 1980 (OR 1.40, 95% CI: 1.10 - 1.78). In comparison, the risk of FL in women was associated with current cigarette smoking, trending higher with increasing duration of smoking.

A meta-analysis of 19 case-control studies of NHL subtypes was performed (4667 cases and 22,639 controls), focusing on diffuse large B-cell lymphoma (DLBCL).⁶¹ There were no overall and sex- or age-specific associations between DLBCL and hair dye use, based on the basic adjusted model results of this study. The OR for mediastinal DLBCL was 4.97 (95% CI: 1.63 - 15.15) for use of hair dyes for at least 20 years, compared with never used hair dyes. When analysis stratified by ever hair dye use before or after 1980, there was no associated risk with DLBCL. The OR was 2.75 (95% CI: 0.91 - 8.29) and 0.56 (95% CI: 0.22 - 1.45) for ever hair dye use < 1980 and for hair dye use \geq 1980, respectively. Using hair dyes for at least 20 years was not associated with DLBCL at other anatomical sites, including the central nervous system (CNS), testis, gastrointestinal tract, and skin. Use of hair dyes for less than 20 years was not associated with DLBCL at any site. In comparison, smoking was associated with CNS, testicular and cutaneous DLBCLs in this study. The authors indicated the results were not adjusted for multiple comparisons.

A meta-analysis of 16 case-control and 4 cohort studies of leukemias (stratified by subtype of AML, ALL, chronic myeloid leukemia (CML), CLL, and SLL) has been performed in 2017.⁶² Ever-use of hair dye was associated with a non-statistically significant increased risk of leukemia (meta-RR 1.09, 95% CI: 0.97 - 1.22). Specifically, with permanent hair dye use RR = 1.19 (95% CI: 1.07 - 1.33), with dark hair dye use RR = 1.29 (95% CI: 1.11 - 1.50), with hair dye use among males RR = 1.42 (95% CI: 1.01 - 2.00), with hair dye use pre-1980 RR = 1.49 (95% CI: 1.21 - 1.83), and with hair dye use for longer than 15 years RR = 1.35 (95% CI: 1.13 - 1.62). When adjustment of smoking was conducted, ever-use of hair dye was not associated with leukemia, meta-RR = 0.99 (95% CI: 0.76 - 1.29). Overall, results indicated that ever-use of hair dye was not a significant risk factor for leukemia.

A meta-analysis aimed at analyzing the association between hair dye use and the pathogenesis of NHL was conducted in 2019, including 13 case-control studies and 3 cohort studies (with 720,019 participants) published between 1988 to 2015.⁶³ The 13 case-control studies included a total of 10,399 NHL cases and 20,013 controls and the 3 cohort studies reported 928 NHL cases. The OR value of the case-control studies or cohort studies was 1.13 (95% CI: 0.86 - 1.84) or 1.16 (95% CI: 0.91 - 1.69), respectively. When all studies were combined, the OR value was 1.14 (95% CI: 1.01 - 1.29), indicating that the risk of NHL in hair dye users was 14%; however, heterogeneity index I^2 between different studies was 79.7%, suggesting that there was significant heterogeneity among these diverse studies. In addition, the duration of hair colorant use recorded in these studies was divided into 3 groups: < 10 years (OR 1.19, 95% CI: 0.90 - 1.88), 10 - 20 years (OR 1.20; 95% CI: 1.02 - 1.95), and > 20 years (OR 1.34, 95% CI: 1.04 - 1.92). The results suggested that people who used hair dyes for more than 20 years had increased risk of NHL.

In a meta-analysis involving 5 case-control studies, the association between history of hair dye use and risk of FL was assessed within a total of 4687 cases and 30,137 controls.⁶⁴ The period of data collection spanned 1976 - 2009. Hair dye use before 1980 was positively associated with FL risk (RR 1.66; 95% CI: 1.22 - 2.25) and no evidence of effect was observed after 1980.

A meta-analysis involving 28 case-control studies (12,313 cases and 27,955 controls) was performed to investigate the association between hair dye use and the incidence of hematopoietic cancers.⁶⁵ In the 17 studies that assessed general use of any type of hair dyes and cancer rates, the pooled OR of hematopoietic cancers in women was 1.10 (95% CI: 1.01 - 1.20, $I^2 = 58.2\%$). There were 11 studies investigating hair dye manufactured before and after 1980 as a risk factor for cancer; the pooled OR was 1.31 (95% CI: 1.08 - 1.59, $I^2 = 59.5\%$) for using hair dye made before 1980, while the use of hair dye made after 1980 was not associated with cancer incidence (OR = 0.99; 95% CI: 0.89 - 1.10, $I^2 = 1.9\%$). In the 13 studies examining the association of light and dark hair dye with cancer, the pooled OR for the use of dark hair dye was 1.09 (95% CI: 0.95 - 1.25, $I^2 = 47.8\%$).

Bladder Cancer

A hospital-based case-control study was performed in Spain, with 152 women cases and 166 age-, gender-, and hospital-matched controls.⁶⁶ Detailed information on patterns of hair dye use included year first used, as well as type, duration and frequency of uses. The hair dye exposure assessment was a ++++ on the Rollison et al. (2006) scale. No increased risk was associated with use of any hair dye (OR 0.8, 95% CI: 0.5 - 1.4) or of permanent hair dyes (OR 0.8, 95% CI: 0.5 - 1.5). No significant increase in risk was observed for use hair dyes at least 10 times (OR 1.3, 95% CI: 0.8 - 2.2). In addition, there was no trend in risk was seen with increasing exposure for duration of use, average use, or cumulative use. All models were adjusted for age, region, and smoking status.

To investigate risk factors for bladder cancer in Iran, a population-based case-control dataset with 692 cases and 692 gender- and age-matched controls was analyzed.⁶⁷ Cases were identified using the Iranian cancer registry. The hair dye exposure assessment was a + on the Rollison et al. (2006) scale. The OR for hair dye use and bladder cancer was 1.81 (95% CI: 1.08 - 3.06). After adjustment for cigarette smoking, the OR was 1.99 (95% CI: 1.02 - 3.82). When women and men were analyzed separately, no significant association between hair dye use and bladder cancer was found.

A population-based case-control study was conducted in Maine, Vermont, and New Hampshire.⁶⁸ The subjects were 1193 cases of urinary bladder cancer diagnosed from 2001 to 2004 (911 male and 282 female), and 1418 controls (1,039 male and 379 female). The hair dye exposure assessment was + on the Rollison et al. (2006) scale. No association was found between ever/never use of hair dyes and bladder cancer – the OR for women was 0.70 (95% CI: 0.50 - 1.00), and for men 0.70 (95% CI: 0.40 - 1.00). Women who used red hair colors, for example, exhibited an OR of 0.40 (95% CI: 0.20 - 0.80), suggesting a significantly lower risk of bladder cancer associated with the use of such hair dyes. A similar lower risk of bladder cancer was reported for women who used hair dyes for a duration between 10 and 19 years (OR 0.5, 95% CI: 0.27 - 0.79). No statistically significant interactions with hair-dye use were found when the data were stratified by state of residence, hair-dye product type, smoking, age at diagnosis/interview, or disease aggressiveness in the female subjects.

In a population-based case-control study conducted in the Netherlands, the subjects were 1385 cases (n = 246 women) and 4754 (n = 2587 women) controls, recruited in the Nijmegen Bladder Cancer (NBC) Study; all of the subjects for which the analyses were performed were women (less than 5% of the men selected for the study reported ever using hair dyes).⁶⁹ The hair dye exposure assessment was + on the Rollison et al. (2006) scale. No association was found between bladder cancer and ever-use of permanent hair dyes (OR 0.87, 95% CI: 0.65 - 1.18) or temporary hair dyes (OR 0.77, 95% CI: 0.58 - 1.02). In addition, no association was observed when hair dye use was defined by type, duration or frequency of use, dye color, or extent of use or when the patients were stratified by aggressive and non-aggressive bladder cancers.

In a meta-analysis involving 15 case-control and 2 cohort studies, the abstracted information included the variables adjusted and/or used to match control subjects with cases.⁷⁰ For example, 12 of the studies clearly adjusted for smoking; adjustment for smoking was not clear in 1 study. The pooled RR of bladder cancer incidence/mortality was 0.93 (95% CI: 0.83 - 1.05) for personal use of any type of hair dye, compared with no use, and comparable results were obtained when the subjects were stratified by sex. The RR for personal use of permanent hair dyes from 7 of the studies was 0.92 (95% CI: 0.77 - 1.09). Similarly, no association was found between bladder cancer and the duration or lifetime frequency of use of any type of hair dye or use of permanent hair dyes, compared with never used hair dyes. The RR for the use of dark-color hair dyes was 1.29 (95% CI: 0.98 - 1.71).

Brain Cancer

A population-based case-control study was conducted in the west coast of the USA (Los Angeles, San Francisco, and Seattle), with 540 childhood brain tumors (CBT) cases (aged < 20 years) and 801 birth- and sex-matched controls.⁷¹ Interviewed mothers of the subjects reported their hair dye use (permanent, semi-permanent, temporary) before and during pregnancy. The hair dye exposure assessment was +++ on the Rollison et al. (2006) scale. Overall, exclusive use of permanent dye, semi-permanent, temporary dye or hair darkeners before or during pregnancy was not associated with risk for CBT (OR 0.96, 95% CI: 0.69 - 1.3). Risks for the three major subtypes of CBT, astrocytic tumors (OR 1.00, 95% CI: 0.69 - 1.5), primitive neuroectodermal tumors (PNET) (OR 0.97, 95% CI: 0.51 - 1.9) and other gliomas (OR 0.76, 95% CI: 0.40 - 1.4), were also not associated with the use of hair dyes during pregnancy.

A meta-analysis including 4 case-control and 2 cohort studies were conducted to investigate the hair dye use and the incidence of gliomas.⁷² Matching or adjustment for age and sex was performed in all 6 studies included in this meta-analysis, and for smoking in 2 of the 6 studies. The most adjusted risk estimates were included, and the raw data were used when adjusted estimates were not available. Summary RRs for ever-use of any hair dyes were 1.13 (95% CI: 0.89 - 1.45) for all studies, 1.29 (95% CI: 0.94 - 1.78) for case-control studies, and 0.90 (95% CI: 0.78 - 1.05) for cohort studies. Similar results were obtained when the subjects were stratified by geographic regions and sex. No significant associations were found among the studies that evaluated permanent hair dye use and duration of any hair dye use.

Prostate Cancer

In the Alpha-Tocopherol, Beta-Carotene Cancer Prevention (ATBC) Study cohort, 50 - 69 year-old male smokers (n = 28,795) were recruited from 1985 to 1988 in southwestern Finland.⁷³ During a 28-year period of observation, 2703 incidences of prostate cancer cases were diagnosed. At the time of baseline interview, 75 men reported hair dye use, and 13 of them were diagnosed with prostate cancer thereafter. The evaluation of hair dye exposure was a + on the Rollison et al. (2006) scale. After adjustments for age, number of cigarettes smoked daily, years of smoking, and family history of prostate cancer, men who used hair dyes were associated with higher prostate cancer risk (HR 1.77, 95% CI:1.03 - 3.05), compared with those who did not use hair dyes. However, as the authors indicated, misclassification of hair dye exposure may have occurred because it was only assessed during study enrollment; consequently, hair dye use could have changed over time (e.g., baseline users might decide to stop hair coloration but not report such a change); in addition, a small number of exposure-related cases might result in low statistical power.

A hospital-based case-control study was conducted among prostate cancer cases in Taiwan, involving 296 cases with newly diagnosed prostate cancer and 296 age-, ethnicity-, and hospital-matched controls.⁷⁴ The evaluation of hair dye exposure was ++ on the Rollison et al. (2006) scale. The prevalence of hair dye use was higher in the cases than the controls (95/296 = 32.1% vs. 64/296 = 21.6%, p < 0.05), and the hair dye users had increased odds of prostate cancer when compared with the non-users (adjusted OR 2.15, 95% CI: 1.32 - 3.57). The significant risks were more prominent in users aged < 60 years (OR 2.64, 95% CI:1.16 - 6.36), who had used hair dyes for > 10 years (OR 2.54, 95% CI:1.23 - 5.41), > 6 times per year (OR 2.65, 95% CI:1.26 - 5.78), and started using hair dyes before 1980 (OR 2.16, 95% CI:1.28 - 3.68). The study found personal hair dye use increased risk of prostate cancer with a dose-response effect (p < 0.01 for a trend). Meanwhile, to determine the rate of prostate cancer survival, another 608 incident prostate cancer cases were investigated. In the cases-only study, 26.4% (161/608) reported having used hair dyes. The mean and median follow-up times were 25.7 and 22.2 months, respectively (range from 0.1 - 84.4 months). The use of hair dye was not correlated with the clinical stage of prostate cancer (categorized by localized, locally advanced, and bone metastasis). In addition, the use of hair dye did not affect cumulative incidence estimates of prostate cancer-specific deaths (p = 0.753).

This above study was the first to show a positive association between personal hair dye use and risk of prostate cancer, revealing a dose-response relationship assessed by duration and frequency; however, cumulative exposure dose, a critical indicator to estimate a dose-response effect, was not assessed.⁷⁵ One meta-analysis targeted on occupational exposure of hairdressers observed no increased risk of prostate cancer (pooled RR 1.02, 95% CI: 0.89 - 1.18).⁷⁶

Testicular Cancer

A population-based case-control study was carried out among 527 mothers of testicular germ cell tumors (TGCT) cases and 562 mothers of controls.⁷⁷ The subjects (men aged 18 - 45 years) were enrolled in US Servicemen's Testicular Tumor Environmental and Endocrine Determinants (STEED) study between 2002 - 2005, and had at least one serum sample stored in the US Department of Defense Serum Repository. The hair dye exposure assessment was a + on the Rollison et al. (2006) scale. TGCT, accounting for approximately 98% of testicular cancers, are the most commonly occurring cancer among men aged 15 - 44 in the US though they are rare tumors in the general population.⁷² Maternal use of hair dye (OR 0.80, 95% CI: 0.54 - 1.18), hairspray (OR 1.17, 95% CI: 0.89 - 1.55), or permanent wave (OR 1.18, 95% CI: 0.86 - 1.62) was not associated with TGCT risk in sons.

Genetic Polymorphism

NAT1, NAT2, GSTM1, GSTT1, CYP1A2 and Arg72Pro Genotype/Phenotype

Altered genotype and phenotype of liver enzymes may activate or inactivate potential carcinogens.⁶⁸ NAT1 and NAT2 genes encode arylamine N-acetyltransferases that can deactivate (or, less commonly, potentially activate) arylamine and hydrazine chemicals. Polymorphisms in these genes determine, in part, the liver-function phenotypes. Human populations segregate into rapid, intermediate, and slow acetylator phenotypes. N-Acetylation is a major route of biotransformation of aromatic amine compounds, including those found in hair dyes. The GSTM1 gene encodes a cytoplasmic glutathione S-transferase that belongs to the μ class, which functions in the detoxification of electrophilic compounds (including carcinogens, therapeutic drugs, environmental toxicants, and products of oxidative stress) through conjugation with glutathione. The GSTT1 gene encodes the glutathione S-

transferase that belongs to the θ class, which catalyzes the conjugation of reduced glutathione to a variety of electrophilic and hydrophobic compounds. A null variant in this gene has been demonstrated to confer cancer susceptibility. Genetic polymorphisms in GSTM1, GSTT1, and CYP1A2 may also affect the metabolism of the constituents of hair dyes.

In the population-based case-control study described above (Koutros et al. 2011),⁶⁸ the association between hair dye use and effect modification by NAT1, NAT2, GSTM1, and GSTT1 genotypes was further evaluated among patients with bladder cancer. The hair dye exposure assessment was +++++ on the Rollison et al. (2006) scale. An increased risk of bladder cancer was reported primarily among exclusive users of permanent dyes who had NAT2 slow-acetylation phenotypes (OR 7.30, 95% CI: 1.60 -32.60), compared to never users of dye with NAT2 rapid/intermediate-acetylation phenotypes. This increase was observed in females with a college degree, but the difference was not statistically significant. The authors concluded that NAT1, GSTM1, and GSTT1 genotypes did not appear to be important modifiers of the association between ever, permanent, or exclusive permanent hair dye use and bladder cancer.

In another population-based case-control study including 159 women cases and 164 sex-, race-, age-, and residency-matched controls, modifying effects of seven genotypes/phenotypes (GSTM1, GSTT1, GSTP1, NAT1, NAT2, CYP1A2) on the permanent hair dye-bladder cancer association were evaluated.⁷⁸ The evaluation of hair dye exposure was a +++ on the Rollison et al. (2006) scale. Individuals with the NAT2 slow-acetylator phenotype who exclusively used permanent hair dyes had an increased risk of bladder cancer (OR 2.90, 95% CI: 1.20 - 7.50) after adjustment for cigarette smoking, compared to individuals with the NAT2 rapid-acetylator phenotypes (OR 1.30, 95% CI: 0.60 - 2.80). The NAT*10 allele contains an altered polyadenylation signal that has been associated with elevated DNA adduct levels and greater risk of bladder cancer in other studies. Individuals with a NAT1*10 genotype who were non-smokers and used permanent hair dyes exclusively had an OR of 1.00 (95% CI: 0.20 - 4.30), and those with a non-NAT1*10 genotype had an OR of 6.80 (95% CI: 1.70 - 27.40) in this study.

A hospital-based case-control study that evaluated the association of hair dye use with bladder cancer among females also examined the effect of hair-dye use among genetic subgroups.⁶⁵ Permanent hair dyes and bladder cancer The hair dye exposure assessment was a +++++ on the Rollison et al. (2006) scale. No statistically significant differences in bladder cancer incidence were noted as a function of any of the genotypes examined, including those with slow- or intermediate/rapid-NAT2 acetylator phenotypes. For NAT2 slow-acetylator phenotypes, the OR was 0.60 (95% CI: 0.30 - 1.40), and for NAT2 rapid/intermediate phenotypes, the OR was 0.90 (95% CI: 0.30 -2.60). Individuals with a NAT1*10 genotype had an OR of 2.90 (95% CI: 0.70 - 11.60), and those with non-NAT1*10 had an OR of 0.60 (95% CI: 0.20 - 1.60). These findings were directionally opposite to those of Gago-Dominguez et al. (2003).⁷⁸

A population-based case-control study was conducted to explore the relationship between hair dye use and the incidence of NHL among residents of 4 Surveillance Epidemiology and End Results (SEER) registries (Iowa, Los Angeles County, and metropolitan Detroit and Seattle).⁴⁹ There were 317 cases and 269 control subjects reporting the use of hair dyes before 1980 and 192 cases and 148 controls reporting hair dye use in 1980 or thereafter. The evaluation of hair dye exposure was +++++ on the Rollison et al. (2006) scale. Among the women who started using permanent, intense-tone hair dyes before 1980, those with the NAT2 slow-acetylator phenotype (23 cases/14 controls) or who had no copies of the NAT1*10 allele (26 cases/16 controls) did not have an increased risk of NHL (OR was 1.50 (95% CI: 0.60 - 3.60) and 1.50 (95% CI: 0.70 - 3.30), respectively). Likewise, women in this subpopulation with 1 or 2 copies of the NAT1*10 allele (22 cases/10 controls) did not have an increased NHL risk (OR 2.50, 95% CI: 0.90 - 7.60). However, women with the NAT2 rapid/intermediate-acetylator phenotype who started using such dyes before 1980 (25 cases/11 controls) did exhibit a potentially increased NHL risk (OR 3.30, 95% CI: 1.30 - 8.60). There was no evidence of increased risk among women who began using hair dyes after 1980.

One study re-evaluated data from a case-control study of NHL (overall and by its subtypes) in Connecticut to consider NAT1 and NAT2 genotype/phenotype and 17 other single nucleotide polymorphisms (SNPs).⁷⁹ The subjects, including 461 cases and 535 control subjects, were identified from Connecticut Tumor Registry database (same study population as examined in Zhang et al. 2004 study).⁴⁸ The evaluation of hair dye exposure was +++ on the Rollison et al. (2006) scale. The associations between hair dye use and risk of NHL and its subtypes among women who carried 1 or 2 NAT1*10 alleles did not differ significantly from those for women who did not carry any NAT1*10 allele. Among women with rapid/intermediate NAT2 phenotypes, those who had used hair dye before 1980 had slightly higher risks for NHL overall (OR 1.60, 95% CI: 1.00 - 2.70), FL (OR 2.80, 95% CI: 1.10 - 7.20), and CLL/SLL (OR 3.2, 95% CI: 1.00 - 10.20), whereas these increases were not observed among women who were

slow acetylators. In women who carried the CYP2C9 allele (TT or CT genotypes) and started to use hair dyes before 1980, there was an increased risk of NHL in general (OR 2.9, 95% CI: 1.40 - 6.10), and the OR reached 6.3 (95% CI: 1.60 - 24.70) in FL subtype. In contrast, no significantly increased risk was observed for starting hair dye use before 1980 (relative to never use) among women who were homozygous wild-type for the CYP2C9, CYP2E1, or GSTM3 polymorphisms, women carrying 1 or 2 copies of the variant GSTP1 allele, or women who were slow NAT2 acetylators.

DNA Repair-Enzyme Genes

One study investigated the interaction between polymorphisms in DNA repair genes and hair dye use with NHL in a population-based case-control study in Connecticut.⁸⁰ The study population from which the subjects were drawn was the same as that of Zhang et al. 2004 study,⁴⁸ including 518 NHL cases and 597 age-matched controls. All subjects were genotyped for 24 SNPs in 16 DNA repair-enzyme gene polymorphisms. The hair dye exposure assessment was +++ on the Rollison et al. (2006) scale. All of the models were adjusted for age, race, and smoking status. Ten genotypes in combination with hair dye use before 1980 were associated with FL risk. The ORs ranged from 1.93 (95% CI: 1.00 - 3.72; 15 cases and 70 control subjects with EECC1rs3212961 CC) to 3.28 (95% CI: 1.27 - 8.50; 7 cases and 110 control subjects with BRCA2rs144848 AC+CC). In addition, there was a statistically-significant interaction between hair dye use before 1980 and NHL in women with one of these 10 SNPs (OR 1.88, 95% CI: 1.26 - 2.80; 146 cases and 100 control subjects with WRNrs1346044 TT). No association was identified between NHL, FL, or DLBCL in women who began using hair dyes after 1980.

DISCUSSION

Studies assessing the exposure to hair dyes in occupational settings are not covered by the current document. Existing epidemiological evidence on the potential association between personal hair dye use and cancer risk in humans has yielded conflicting results. These inconsistencies are primarily attributed to methodological limitations, including small sample sizes, short follow-up durations, inadequate classification of exposure types and hair dye formulations, as well as incomplete adjustment for cancer-specific risk factors beyond hair dye use. Additionally, specific subpopulations may exhibit increased susceptibility due to functional polymorphisms in genes involved in the activation or detoxification of arylamines. These genetic variations may potentially influence the relationship between hair dye use and cancer risk in humans.

Among the 38 independent studies summarized in this document that evaluated the association between personal hair dye use and cancer, 16 studies were assigned a hair dye exposure quality assessment score of 3+ or 4+, including 3 prospective studies rated with a quality score of 4+. The presence of heterogeneity in study design was noted in meta-analyses addressing specific cancer types, outcomes (mortality vs. incident cases), and the quality of hair dye exposure assessment and overall study. Each independent study also documented whether potential confounding variables were identified and how they might have influenced the results. Several studies have reported a potential increased risk of specific hematologic cancer subtypes among individuals who began using it before 1980, but not in those who started in 1980 or later. This temporal difference is important, as regulations implemented in the 1980s and beyond have restricted the use of hair dye ingredients with known carcinogenic potential. Therefore, evaluating hair dye exposures from past decades may not accurately represent current exposure scenarios. Additionally, failure to account for demographic and clinical factors as potential confounders in meta-analyses may contribute to biased outcomes.

Several limitations were noted in the included studies. Some studies lack specificity regarding the type of hair dyes used (oxidative vs. non-oxidative), which differ in chemical composition and their ability to penetrate the hair shaft. Some fail to report important details such as the shade, frequency, and duration of hair dye use. Importantly, hair dye formulations have changed over time and vary across regions, yet these differences were not assessed, limiting interpretation of the associated risk estimates. Furthermore, survey data could not account for all potential cancer risk factors—such as pesticide exposure and other environmental chemicals—which were not examined in the large-scale prospective cohort study. Most studies also did not collect information on the use of other hair grooming products, such as hair straighteners or relaxers, which may independently influence cancer risk. Lastly, findings from populations like nurses of predominantly European descent or women with a family history of breast cancer may not be generalizable to men or other racial and ethnic groups, limiting the broader applicability of the results.

While several large-scale cohort studies have generally found no strong evidence linking hair dye use to an increased overall cancer risk, stratified analyses suggest that certain subgroups, hair dye types or shades, and specific exposure patterns may be associated with increased risks for cancer subtypes. For instance, one study reported an elevated risk of breast cancer associated with the use of permanent hair dye, especially among black women. However, this finding should be interpreted cautiously and not generalized to the broader population due to several limitations, including selection bias, lack of age-related behavioral patterns adjustment, and unaddressed confounding from EDC exposure, as well as social and cultural factors. Well-designed studies with adequate statistical power are needed to robustly evaluate the possibility of differences by race and tumor subtype.

Given that some findings were based on small subgroup sample sizes and information on hair dye use was not updated throughout the follow-up period, the reliability of these results may be limited. To enhance the scientific understanding of potential cancer risks associated with hair dye use, researchers have emphasized the need to replicate these findings through more rigorous investigations. In particular, well-designed, large-scale prospective cohort studies are essential. These studies are valuable because they assess exposures before disease onset, enabling evaluation of multiple outcomes and establishing temporal relationships. Future research should prioritize inclusion of diverse populations from different countries, accounting for variations in baseline cancer risks and environmental conditions. Enhancing both study design and exposure assessment methods is critical to address the complexity of hair dye use patterns, the heterogeneity of cancer outcomes, and potential gene-environment interactions. Advancing epidemiologic research in this area will depend on improved methodologies and broader, more representative study populations.

CONCLUSION

The Expert Panel for Cosmetic Ingredient Safety determined that the available hair dye epidemiology data, as summarized in the current document, do not provide sufficient evidence to support a causal relationship between personal hair dye use and cancer.

TABLES

Table 1. Cohort studies considered by the Panel.				
Author	Study type/Methodology	Results	Adjustment/Note	Study scale*
<i>Multiple Cancer Type Measurement</i>				
Zhang et al. 2020 ²⁸	<p>Large prospective cohort study started in 1976 and followed up to 2012, with 121,700 US female nurses enrolled (30-55 years old) in the national Nurses' Health Study.</p> <p>Data collection on permanent hair dyes use were detailed in duration of use (no-use, <5 years, 5-9 years, ≥ 10 years), frequency of use (no-use, every ≥5 weeks, every 1-4 weeks), cumulative dose (no-use, 1-99 times, 100-199 times, ≥ 200 times), age at first use (no-use, < 30 years, ≥ 30 years), and time since first use (no-use, < 30 years, ≥ 30 years).</p>	<p>During 36 years of follow-up, a total of 20,805 solid cancers and 4860 cancer related deaths were documented.</p> <p>Overall, no association was identified between ever-users of permanent hair dyes and risk of solid cancers under investigation (HR 0.98, 95% CI: 0.9 -1.01).</p> <p>Ever-users did not have an increased risk of cancer related deaths (HR 0.96, 95% CI: 0.91-1.02).</p> <p>No significant increases in risk of the following cancer types (for any hair color use): Cutaneous squamous cell carcinoma (HR 1.00, 95% CI: 0.93 - 1.09; n = 2792) Bladder cancer (HR 1.05, 95% CI: 0.90-1.24; n = 596) Melanoma (HR 1.01, 95% CI: 0.89-1.14; n = 1198) Breast cancer (HR 1.02, 95% CI: 0.98-1.07; n = 9252) Brain cancer (HR 0.72, 95% CI: 0.56-0.93; n = 277) Colorectal cancer (HR 1.05, 95% CI: 0.97-1.14; n = 2394) Kidney cancer (HR 1.03, 95% CI: 0.85-1.23; n = 477) Lung cancer (HR 0.9, 95% CI: 0.87-1.01; n = 2623) Ovarian cancer (HR 1.09, 95% CI: 0.97-1.22; n = 1215) All hematopoietic cancer (HR 1.00, 95% CI: 0.91-1.10; n = 1807) All non-Hodgkin lymphomas (HR 0.94, 95% CI: 0.84-1.05; n = 1277)</p> <p>Basal cell carcinoma risk was slightly increased for ever-users (HR 1.05, 95% CI: 1.02-1.08; n = 22,560), especially among women with naturally light hair color (HR 1.06, 95% CI: 1.02-1.11; n = 11,334), but not among women with naturally dark hair color (HR 1.01, 95% CI: 0.96-1.06; n = 7737).</p> <p>When basal cell carcinoma and cutaneous squamous cell carcinoma were excluded from analysis, the overall HR for all cancers under investigation was 1.00 (95% CI: 0.96-1.05).</p> <p>An increased risk of Hodgkin lymphoma was observed only for women with naturally dark hair (HR 3.89, 95% CI: 1.61-9.40; n = 24).</p>	<p>All models adjusted for age, race, natural hair color, BMI, smoking, and alcohol; additional adjustment might apply for specific cancer type.</p> <p>Self-administered questionnaires indicated hair dye ever-users were more likely to be smokers and consumed more alcohol than those reporting no permanent hair dye use.</p> <p>Subgroup analyses were further performed according to race/ethnicity, cumulative doses, natural hair color, as well as subtypes of breast cancer (stratified by hormone receptor status: estrogen receptor and progesterone receptor).</p> <p>The authors claimed that the generalizability of current findings is limited to white US women and might not extend to other populations.</p> <p>Additionally, cohort was not randomly sampled from US women, but enrolled only nurses and more than 96% of the women had European ancestry.</p> <p>The authors stated the exposure assessments ceased relatively early during cohort follow-up, thus exposure domains might be underestimated.</p>	+++
Mendelsohn et al. 2009 ²⁹	<p>Prospective cohort study conducted in China, with a total of 70,366 women (aged 40-70 years) recruited in Shanghai Women's Health Study between 1996-2000 and followed up to 2005.</p> <p>Exposure was detailed in duration of hair dye use (no-use, 1-2 years, 3-4 years, 5-9 years, ≥10 years).</p>	<p>Generally, no evidence of an association was identified between personal use of hair dye and cancer risk.</p> <p>Compared with no use, ever-users had an overall cancer risk of 0.89 (95% CI: 0.82-0.97; n = 2437).</p> <p>No significant association was observed for the following cancers: Breast cancer (RR 0.93, 95% CI: 0.78-1.09; n = 592) Bladder cancer (RR 1.14, 95% CI: 0.49-1.84; n = 32) Brain cancer (RR 0.96, 95% CI: 0.56-2.35; n = 39) Colorectal cancer (RR 1.04, 95% CI: 0.84-1.28; n = 390) Kidney cancer (RR 1.11, 95% CI: 0.64-1.92, n = 54) Lung cancer (RR 0.81, 95% CI: 0.62-1.09; n = 249) Ovarian cancer (RR 0.89, 95% CI: 0.59-1.35; n = 100) Pancreatic cancer (RR 0.88, 95% CI: 0.55-1.41; n = 79) Stomach (RR 0.90, 95% CI: 0.66-1.21; n = 188) Thyroid cancer (RR 0.42, 95% CI: 0.25-0.69; n = 88)</p>	<p>Cox proportional hazards models adjusted for age, education and smoking duration in pack/years.</p> <p>About 9% of all hair dye users in the cohort (2689 individuals) reported ≥10 years of hair dye use.</p> <p>Hair dye users had a median age of 51 years; hair dye use ranged from 1-52 years, with a median of 3 years and a mean of 3.8 years of use.</p> <p>Women who had not used hair dye in the three years prior to interview were classified as nonusers.</p> <p>The study is limited by small numbers for certain cancer types.</p>	+

Table 1. Cohort studies considered by the Panel.				
Author	Study type/Methodology	Results	Adjustment/Note	Study scale*
		Uterine cancer (RR 1.10, 95% CI: 0.77-1.58; n = 125) Hematopoietic cancers (RR 0.89, 95% CI: 0.59-1.35; n = 99), or their subtypes, including NHL (RR 1.09, 95% CI: 0.61-1.92; n = 51), multiple myeloma (RR:0.84, 95% CI: 0.31-2.27; n = 18), and leukemia (RR 0.68, 95% CI: 0.31-1.51; n = 29)		
Thun et al. 1994 ³⁰	Prospective cohort study conducted in the USA, with a total of 573,369 women (age ≥30 years, median age 56 years) enrolled from CPS-II study in 1982, and followed up to 1989. Data were detailed in duration of hair dye use (no-use, 1-9 years, 10-19 years, ≥20 years), and dye colors (blond, red/auburn, brown, black, other dye).	Permanent hair dyes showed decreased risk of all fatal cancers combined (RR = 0.93, 95% CI = 0.89-0.98), and of urinary system cancers (RR = 0.65, 95% CI = 0.49-0.87), No increase in risk of any type of the following cancers: Breast cancer (RR 0.95, 95% CI: 0.83-1.08) Bladder cancer (RR 0.56, 95% CI: 0.32-0.99) Brain and other nervous system (RR 0.85, 95% CI: 0.67-1.09) Digestive system (RR 0.94, 95% CI: 0.85-1.03) Respiratory system (RR 1.00, 95% CI: 0.91-1.11) Oral cavity and pharynx (RR 0.61, 95% CI: 0.32-1.14) All hematopoietic cancer (RR = 0.94, 95% CI = 0.80-1.10) Women who had used black hair dyes for 20 years (0.6% of women hair dyers) or more had increased risk of fatal NHL (RR = 4.37, 95% CI = 1.3-15.2; n = 3) and multiple myeloma (RR = 4.39, 95% CI = 1.1-18.3, n = 2). However, it should note the number of cases were very small, the statistical power of these sub-analyses was limited.	Models adjusted for age, race, and smoking. The authors indicated the limitations of the study: it depended on a single, self-administered questionnaire; it relied on mortality rather than incidence to define disease; with no information on hair dye type.	++
<i>Breast Cancer</i>				
Eberle et al. 2020 ³⁴	Prospective cohort study of breast cancer in the United States and Puerto Rico. Subjects from Sister Study included 46,709 women aged 35-74 enrolling from 2003 to 2009, who had no history of breast cancer but had 1 or more sisters with breast cancer. Participants reported their personal hair dye use in the 12 months before enrollment. Detailed hair dye use patterns included dye type (permanent, semi-permanent, temporary), color (dark, light, or both), duration (no-use, <5 years, 5-9 years, ≥10 years), and frequency (no-use, 1-2 times per year, every 3-4 months, every 5-8 weeks, 1 time/month, >1 time/month).	During a mean of 8.3 years follow-up, 2794 breast cancers were identified. 55% of participants reported using permanent dye at enrollment. For permanent dye use, HR was 1.45 (95% CI: 1.10 - 1.90, n = 102) in black women, and 1.07 (95% CI: 0.99 - 1.16, n = 1338) in white women (heterogeneity p = 0.04). A higher breast cancer risk was observed in light dye use (HR 1.12; 95% CI:1.02-1.23, n = 713) compared to dark dye use (HR 1.08; 95% CI: 0.98-1.19, n = 683). Non-professional application of semi-permanent dye to others (i.e., dyeing others in non-occupational settings) was associated with breast cancer risk (HR 1.28, 95% CI: 1.05-1.56; n = 105), while association was not found for non-professional application of permanent dye to others (HR 0.99, 95% CI: 0.85-1.15; n = 188). However, no significant association is seen between permanent hair dye use and breast cancer risk in both white and black women when analysis was stratified by durations of use: HR = 1.08 (95% CI: 0.77-1.52, n = 53) for duration of use < 5 years in black women HR = 0.97 (95% CI: 0.70-1.34, n = 59) for duration of use ≥ 5 years in black women HR = 1.10 (95% CI: 0.97-1.24, n = 376) for duration of use < 5 years in white women HR = 1.06 (95% CI: 0.97-1.16, n = 1177) for duration of use ≥ 5 years in white women There was no association between semi-permanent dye/temporary dye use and breast cancer risk.	Models adjusted for age, race, education, oral contraceptive use, parity, age at first birth, smoking status, BMI, age at menarche, and menopausal status. The exposure assessment was limited to the 12 months prior to enrollment, with no consideration of hair product use during the follow-up period. Results remained unchanged when excluding women who had ever worked in hair salons (n = 1616), or adjusting for alcohol and hormone replacement therapy use. The design of the study includes only participants with a family history of breast cancer, which may restrict the ability to generalize these findings.	++++
White et al. 2021 ³⁷	Prospective cohort study of breast cancer in the United States and Puerto Rico. Sister Study participants (ages 35-74 years) who had completed enrollment questionnaires (2003-2009) on use of hair dyes, straighteners/relaxers, and permanent waves (perms) at ages 10-13 years (n = 47,522) were followed up to 2018.	Over an average of 10 years of follow-up, 3380 breast cancer cases were diagnosed. Hair dyes use was not associated with breast cancer risk overall or by menopausal status. Permanent hair dye use: HR = 0.97 (95% CI: 0.78-1.20, n = 87) for overall breast cancer HR = 1.00 (95% CI: 0.60-1.67, n = 15) for premenopausal breast cancer	Models adjusted for race, education, and household income. Most black women who reported using permanent hair dye during adolescence reported also using permanent hair dye in the 12 months prior to study baseline (n = 10 of 13 exposed cases), thus the authors stated they could not reliably estimate	++

Table 1. Cohort studies considered by the Panel.				
Author	Study type/Methodology	Results	Adjustment/Note	Study scale*
	Information on hair dye type (permanent, semi-permanent, temporary) and frequency of use (sometimes or frequently) were collected.	HR = 0.97 (95% CI: 0.77-1.23, n = 72) for postmenopausal breast cancer Semi-permanent hair dye use: HR = 0.87 (95% CI: 0.68-1.11, n = 64) for overall breast cancer HR = 0.93 (95% CI: 0.53-1.65, n = 12) for premenopausal breast cancer HR = 0.86 (95% CI: 0.65-1.13, n = 52) for postmenopausal breast cancer A higher risk for breast cancer associated with permanent dye use was observed in black women (HR 1.77, 95% CI: 1.01-3.11; n = 13), but not among white women (HR 0.93, 95% CI: 0.74-1.18, n = 70).	the association of only using permanent hair dye during adolescence.	
<i>Ovarian Cancer</i>				
White et al. 2021 ⁴⁶	Prospective cohort involving 40,559 Sister Study participants aged 35-74 at enrollment (2003-2009), with a mean of 10 years of follow-up. Participants reported their personal hair dye use with the information of type (permanent, semi-permanent, temporary), color (dark, light), duration (no-use, 0-<10 years, ≥10 years), and frequency (no-use, ≤4 times/year, ≥4 times/year).	No positive association was observed between incident ovarian cancer (n = 241) with ever-use of permanent (HR 1.07, 95% CI: 0.82-1.39), semi-permanent (HR 1.17, 95% CI: 0.85-1.60) and temporary dyes (HR 0.75, 95% CI: 0.45-1.26). Findings were similar when ovarian cancer cases were limited to those confirmed by medical record: permanent HR was 1.05 (95% CI: 0.78-1.42), semi-permanent HR was 1.28 (95% CI: 0.90-1.32). More frequent use of hair dye or duration use was not associated with an increased risk of ovarian cancer compared to never use. HR was 1.07 (95% CI: 0.79-1.45) for use of frequency > 4 times/year, and HR was 1.06 (95% CI: 0.78-1.43) for use of duration ≥ 10 years. When ovarian tumors were stratified by serous versus non-serous type, ever-use of permanent hair dye was positively associated with non-serous tumors (HR 1.94, 95% CI 1.12-3.37), but inversely associated with serous (HR 0.65, 95% CI: 0.43-0.99) tumors (heterogeneity p = 0.002). Such results were not found in the use of semi-permanent or temporary dyes.	Models adjusted for race/ethnicity, education, BMI, age at menarche, parity, menopausal status, hormone therapy use, hysterectomy status, tubal ligation status, smoking, and alcohol use. The author indicated that ovarian cancer is a rare disease (only 241 cases were diagnosed from a 10-year follow-up, large cohort of over 50,000 US women); considering that the non-serous group includes clear cell, endometrioid, and mucinous carcinomas, as well as other histologic types with different etiologies, the authors stated the ovarian cancer subtype-stratified analyses were difficult to interpret.	++++
<i>Uterine Cancer</i>				
Chang et al. 2022 ⁴⁷	Prospective cohort involving 33,947 Sister Study participants aged 35-74 who had a uterus at enrollment (2003-2009), with a mean of 10 years of follow-up. Details obtained for personal hair dye use included hair dyes type (permanent, semi-permanent, temporary), color (dark, light), duration (no-use, <5 years, 5-9 years, ≥10 years), and frequency of use (no-use, ≤4 time, or >4 times in the past 12 months prior to baseline). Use of other hair products were also examined, such as straighteners, relaxers, pressing products, hair permanents or body waves.	Over a mean follow-up of 10.9 years, 378 uterine cancer cases were identified. (Uterine cancer cases were defined as women who, after enrollment, reported a diagnosis of endometrial cancer, uterine sarcoma, or other types of cancer in the uterus.) Use of any type of hair dye was not associated with uterine cancer risk. Permanent dyes: Ever-use (HR 0.90, 95% CI: 0.74-1.11; n = 185) Ever-use to others (HR 0.69, 95% CI: 0.42-1.14; n = 17) Frequency ≤4 times (HR 0.79, 95% CI: 0.59-1.05; n = 64) Frequency >4 times (HR 0.98, 95% CI: 0.78-1.24; n = 121) Duration <5 years (HR 0.75, 95% CI: 0.54-1.04; n = 48) Duration 5-9 years (HR 0.84, 95% CI: 0.61-1.15; n = 50) Duration ≥10 years (HR 0.82, 95% CI: 0.64-1.05; n = 112) Semipermanent dyes: Ever-use (HR 0.94, 95% CI: 0.72-1.24; n = 64) Ever-use to others (HR 0.78, 95% CI: 0.40-1.51; n = 9) Frequency ≤4 times (HR 0.91, 95% CI: 0.63-1.29; n = 35) Frequency >4 times (HR 0.98, 95% CI: 0.67-1.44; n = 29) Duration <5 years (HR 0.93, 95% CI: 0.67-1.27; n = 49) Duration 5-9 years (HR 1.15, 95% CI: 0.78-1.70; n = 28) Duration ≥10 years (HR 0.90, 95% CI: 0.60-1.35; n = 26)	Models adjusted for age, race/ethnicity, education, BMI, physical activity, oral contraceptive use duration, hormone replacement therapy, and age at menarche. Associations with use of body waves or hair permanents as a combined exposure were also examined: the results were negative (HR 1.01, 95% CI: 0.75-1.37; n = 53).	++++

Table 1. Cohort studies considered by the Panel.				
Author	Study type/Methodology	Results	Adjustment/Note	Study scale*
		Temporary dyes: Ever-use (HR 1.25, 95% CI: 0.88-1.78; n = 36)		
<i>Prostate Cancer</i>				
Lim et al. 2022 ⁷³	Prospective cohort study recruited 28,795 male smokers (aged 50-69 year) from 1985 to 1988 in Finland, with a 28-year follow-up.	<p>During a 28-year period of observation, 2703 incident prostate cancer cases were diagnosed. At the time of baseline interview, 75 men reported hair dye use, and 13 of them were diagnosed with prostate cancer thereafter.</p> <p>Men who used hair dyes was associated with higher prostate cancer risk (HR 1.77, 95% CI: 1.03-3.05), compared with no use.</p> <p>Subgroup analysis showed the positive risk association for hair dye use was more prominent among men with a lighter natural hair color (light red, fair, or light brown) HR = 4.58 (95% CI: 1.70-12.29, n = 4), compared to men with dark natural color (dark brown or black) HR = 1.66 (95% CI: 0.81-3.38, n = 8). Subgroup analyses had very small number of exposed cases, which might result in low statistical power.</p>	<p>Models adjusted for age, smoking, and family history of prostate cancer.</p> <p>While lack of information on specific hair dye chemical compositions, a multicenter survey showed that hair dyes used in Finland in the 1990s contained 4-amino phenol and toluene-2,5-diamine or toluene-2,5-diamine sulfate.</p> <p>The authors indicated, misclassification of hair dye exposure may have occurred because it was only assessed during study enrollment, thus hair dye use could have changed over time (e.g., baseline users might decide to stop hair coloration but not reported such change).</p>	+

* Based on the Rollison et al. (2006) scale⁷:

+: Assessed ever/never use;

++: Assessed the type of hair dye, or dye type plus dye color or duration, or with information on two or three other factors (color, frequency, duration), but no information on type;

+++: Assessed dye type, color, and frequency or duration of use;

++++: Assessed all four critical aspects: hair dye type, color, duration, and frequency of use

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
<i>Breast Cancer</i>				
Kinlen et al. 1977 ³⁸	<p>Hospital based case-control study in Oxford, UK, including 191 cases and 561 age and sex matched controls, of which 73 cases and 213 controls had ever used hair dyes.</p> <p>Details obtained for hair dye use including type (permanent, semi-permanent), and duration of use (no-use, 1-10 years, 11-19 years, ≥20 years)</p> <p>(1975-1976)</p>	<p>A non-statistically significant increase in the relative risk of breast cancer in women who ever used hair dyes, compared with never used hair dyes (RR = 1.01).</p> <p>There was no evidence of an increasing risk for breast cancer with increasing duration of use of hair dyes or with use beginning more than four or over nine years (RR = 0.95) before diagnosis.</p>	<p>Models adjusted for age; 95% CI range value was not available for the estimated RR.</p> <p>Among women aged > 50 years, there was a significantly greater proportion of past or present smokers in hair dye users (63% of cases and controls combined) than in the non-users (43.5%).</p>	++
Stavraky et al. 1979 ³⁹	Hospital based case-control study consists of 50 women cases at a cancer treatment center with 100 hospitalized controls in London, Ontario, and 35 cases with 70 neighborhood controls in Toronto, Ontario.	<p>No evidence of a statistically significant relationship between hair dye use and cancer was observed.</p> <p>Specifically, the RRs of breast cancer for use of permanent hair dyes (at any time) were 1.30 (95% CI: 0.60-2.50) in London and 1.10 (0.50-2.40) in Toronto.</p>	Logit analysis adjusted for smoking, family history of cancer, and age at first birth.	++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
	Questionnaire elicited detailed information on the dye type (permanent, semi-permanent), use duration, and frequency. (1976)	respectively. The RRs for use of semi-permanent hair dyes were 1.70 (95% CI: 0.40-6.50) in London and 0.30 (0.10-1.70) in Toronto, respectively. Association between cancer risks with hair dyes use and other hair product use (such as hair spray, color rinse, and streaking) was also examined. No significant correlation was identified.		
Koenig et al. 1991 ⁴⁰	Hospital based case-control study with 398 women cases and 90 randomly selected controls from a screening center in NYC. Details collected for hair dye use including type (permanent, semi-permanent, temporary), dye color (light, medium, dark), duration (different periods of reproductive life) and frequency use (no-use, 1-9 times, 10-49 times, 50-149 times, 150-1,825 times) (1977-1981)	Most subjects (77%) had used hair dye at least once, 38% of the subjects at least 100 times. No increase in the odds of breast cancer in women who ever used hair dyes, compared with never use (OR 0.80, 95% CI: 0.60-1.10). No evidence of a trend in risk was observed with increasing number of hair dye uses. For example, the ORs of permanent dye use at low frequency (1-9 uses) and high frequency (150-906 uses) were 0.90 (95% CI: 0.60-1.30) and 0.80 (95% CI: 0.50-1.20), respectively. The ORs of dark dye use at low frequency (1-9 uses) and high frequency (150-1825 uses) were 0.70 (95% CI: 0.40-1.40) and 0.80 (95% CI: 0.50-1.50), respectively.	Age was adjusted in all of the analyses (cases were older than randomly selected controls, as expected, since the controls were randomly selected in a medical center). The results showed personal hair dye use was unrelated to breast cancer risk, while additional data in the study indicated there was an increased risk (OR 3.0, 95% CI: 1.1-7.8) in women working as beautician for ≥ 5 years. This study suffered from selection bias, as subjects recruited from a screening medical center, who seek screening only if they were experiencing cancer like symptoms.	++++
Heikkinen et al. 2015 ⁴¹	Population based case-control study of breast cancer in Finland. There were 6567 cases and 21,598 age-matched controls (22-60 years old). Details collected for hair dye use included type (permanent, semi-permanent, temporary), the total number of hair dye episodes during life (no-use, 1-2 times, 3-9 times, 10-34 times, 35-89 times, ≥ 90 times), and frequency of dyeing (never, rarely, quite often, often). (2000-2007)	A large proportion of women reported ever-use of hair dye products; usage rates rose from 84% for women born before 1950 to 92% for those born in 1960 or later. The adjusted OR for hair dye use was 1.23 (95% CI: 1.11-1.36) regarding ever vs hair dye never use. When analysis was stratified by hair dye type, ORs were 1.25 (95% CI: 1.12-1.39), 1.31 (95% CI: 1.17-1.46), and 1.32 (95% CI: 1.16 -1.52) for permanent, semi-permanent, and temporary dyes, respectively. In age group analysis, the OR regarding ever vs. never use was 1.28 (95% CI: 1.10-1.48) for women born before 1950. Early age at first dye (20-29 years) was associated with higher odds of breast cancer (OR 1.14, 95% CI: 1.05-1.25), when compared with women started dyeing at ≥ 40 years, while the association was not observed in those started using hair dyes before 20 years (OR 1.06, 95% CI: 0.96-1.16). An increased risk was seen in a pooled estimate (starting age <30 vs. ≥ 30): OR = 1.07 (95% CI: 1.01-1.14) among women who had started using hair dyes before 30 years.	Multivariate model adjusted for birth year, parity, age at first birth, family history of breast cancer, menarche age, contraceptives use, physical activity, alcohol, BMI, and education. Statistically significant trend was observed ($p = 0.005$) when considering the cumulative number of hair dye use during life (ORs ranged from 1.07 to 1.31): OR = 1.07 (0.88-1.29) for 1-2 times/life OR = 1.19 (1.03-1.39) for 3-9 times/life OR = 1.28 (1.12-1.47) for 10-34 times/life OR = 1.31 (1.14-1.51) for 35-89 times/life OR = 1.25 (1.08-1.45) for ≥ 90 times/life Hair dye users reported more often ever-use of alcohol, with only 7% of them reporting never-use, compared to never-use of 27% among the non-hair dye users.	+++
Dianatinasab et al. 2017 ⁴²	Hospital-based case-control study in Iran with 526 women cases and 526 randomly selected, age-matched controls. Information collected on hair coloring used on a "regular bases"; the frequency and duration of hair dye use was unknown. (2014-2016)	The OR of breast cancer from hair dye use on a regular basis compared to no use was 1.93 (95% CI: 1.41-2.62). The results also showed multiple other factors contributed to the risk of breast cancer, such as life stress, occupation, marital age, age at first deliver, parity, birth interval, BMI, oral contraceptive usage, physical inactivity, and smoking.	The author stated the results of this study found no association between cosmetics use and breast cancer (detailed data were not available). The cosmetics use reported in the survey included lipstick, mascara, eye shadow, foundation, dye, and facial products.	+
Llanos et al. 2017 ⁴³	Population-based case-control study of African American and European American women (aged 20-75 years), recruited in WCHS study in NYC and ten counties in NJ, USA.	There was no association between regular hair dye use and breast cancer risk, OR was 1.12 (95% CI: 0.95-1.32) and 1.07 (95% CI: 0.86-1.32) for African American and European American women, respectively. Specifically, among African American women, breast cancer risk was higher for dark shades use (OR 1.51, 95% CI: 1.20-1.90) and salon application of dyes (OR 1.26, 95%	Multivariable analysis adjusted for age, education, BMI, family breast cancer, and oral contraceptive use. The authors indicated limited statistical power to evaluate associations by ER status due to small samples of these cases in this study.	++++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
	<p>There were 2280 cases (1508 African American and 772 White) and 2005 controls (1290 African American and 715 White), matched on frequency, age and race.</p> <p>Data collected on patterns of hair dye use included the age women started regularly using hair dye, annual frequency of use (no-use, ≤ 2 times, >2 times), dye colors (light, medium, dark), duration (no-use, 1-10 years, 11-20 years, >20 years), and typical application used (home-kit or salon).</p> <p>(2002-2008)</p>	<p>CI: 1.00-1.58). Other examined associations were shown below (the results were negative):</p> <p>OR = 1.06 (95% CI: 0.88-1.29) for frequency of use ≤ 2 times/year OR = 1.20 (95% CI: 0.94-1.54) for frequency of use > 2 times/year OR = 1.17 (95% CI: 0.96-1.42) for 1-10 years duration of use OR = 1.03 (95% CI: 0.81-1.31) for >10 years duration of use OR = 0.92 (95% CI: 0.71-1.19) for use of light color dye OR = 0.89 (95% CI: 0.68-1.16) for use of medium color dye OR = 0.97 (95% CI: 0.73-1.29) for home-kit use</p> <p>When breast cancer cases stratified by estrogen receptor status, among African American women, use of dark shades (OR = 1.72, 95% CI: 1.30-2.26) and higher frequency of use (OR = 1.36, 95% CI: 1.01-1.84) were associated with increased risk ER⁺ disease, but not ER⁻ disease; among European American women, use of dark shades (OR = 1.54, 95% CI: 1.01-2.33) was associated with increased ER⁺ disease.</p> <p>In addition, among European American women, dual use of hair relaxers and hair dyes (OR 2.40, 95% CI: 1.35-4.27) was associated with higher breast cancer incidence.</p>	<p>Among European American women, no association between hair dye use and breast cancer incidence was observed:</p> <p>OR = 1.16 (95% CI: 0.89-1.50) for frequency of use ≤ 2 times/year OR = 0.99 (95% CI: 0.77-1.28) for frequency of use > 2 times/year OR = 1.14 (95% CI: 0.88-1.48) for 1-10 years duration of use OR = 1.03 (95% CI: 0.80-1.33) for >10 years duration of use OR = 0.97 (95% CI: 0.73-1.27) for use of light color dye OR = 1.09 (95% CI: 0.84-1.43) for use of medium color dye OR = 1.22 (95% CI: 0.87-1.73) for use of dark shades OR = 0.95 (95% CI: 0.71-1.27) for salon application of dyes OR = 1.20 (95% CI: 0.92-1.58) for home-kit use</p>	
Rao et al. 2022 ⁴⁴	<p>2998 breast cancer cases (2227 African American and 771 European American women) aged 53.3± 10.6 years from WCHS and WCHFS studies, conducted in NYC and ten counties in NJ, USA.</p> <p>This was a case-only analysis (cases identified from the Llanos et al. 2017 study described above), aiming at examining whether hair dye and relaxers use was associated with more aggressive tumor features.</p> <p>Details collected for hair dye use included type (permanent, semi-permanent, temporary), frequency of use (no-use, ≤ 2 times/year, >2 times/year), dye colors (light, medium, dark), duration (no-use, ≤10 years, >10 years), and typical application used (home-kit, salon, or combination).</p> <p>(2001-2018)</p>	<p>Compared to salon application of permanent hair dye, home kit and combination (both salon and home kit) application were associated with increased odds of poorly differentiated tumors in the overall sample.</p> <p>Home kit (OR 2.22, 95% CI: 1.10-4.44) and combination application (OR 2.46, 95% CI: 1.21-5.00) of dyes were positively associated with poorly differentiated tumors among Black women, but not White women (home kit: OR 0.90, 95% CI: 0.45-1.81; combination: OR 2.05, 95% CI: 0.94-4.47).</p> <p>Duration of hair dye use (≤10 years, or >10 years) was not associated with tumor differentiation.</p> <p>Combined applications of permanent hair dyes and relaxers were associated with breast tumor features including higher tumor grade and larger tumor size.</p>	<p>Adjusted for family history of breast cancer, oral contraceptive use, education, BMI, age, race, and mode of detection (routine mammography or clinical/physical exam).</p> <p>The authors stated the current study did not assess the changes in hair dye and/or chemical relaxer/straightening product formulations over time might have impacted the observed risk estimates.</p> <p>Among European American women using hair dye for >10 years, there were lower odds of positive lymph node status (OR 0.46, 95% CI: 0.27-0.79), which was not observed among Black women.</p>	++++
<i>Hematologic Cancer</i>				
Zhang et al. 2004 ⁴⁸	<p>Population-based case-control study with 601 female NHL cases (aged 21-84 years), and 717 age-matched (±5 years) controls from Connecticut Tumor registry database.</p> <p>Exposure information of hair dye use included type (permanent, semi-permanent, temporary), total applications (no-use, <100, 100-200, >200), dye colors (light, dark), duration (no-use, <15years, 15-25 years, >25 years), age at first use (<25 years, ≥25 years) and years since first use (< 25, 25-35, >35).</p> <p>(1995-2021)</p>	<p>An increased risk of NHL was observed among women who reported use of hair dyes before 1980 (OR 1.3, 95% CI: 1.0-1.8). In comparison, no increased risk of NHL overall and by subtype was found among women who started using hair-coloring products in 1980 or later (OR 0.9, 95% CI: 0.7-1.3).</p> <p>Specifically, the ORs were 2.1 (95% CI: 1.0-4.0) for women using darker permanent hair dye for ≥25 years and 1.7 (95% CI: 1.0-2.8) for women who had more than 200 applications.</p> <p>Further stratified analysis by subtype of NHL showed that Follicular type (OR 1.9, 95% CI: 1.1-3.2), B-cell (OR 1.6, 95% CI: 1.2-2.3), and low-grade lymphoma (OR 1.6, 95% CI: 1.0-2.5) were associated with an increased risk with permanent hair dye uses prior to 1980.</p>	<p>Models were adjusted for age and family history of NHL in first-degree relatives. The author stated other variables, such as, race, education, smoking, alcohol consumption, and farming history, did not result in material changes in the observed associations, and thus not included in the final models.</p> <p>An increased risk of NHL was found only among women who started using hair dyes before 1980. No clear evidence of a dose-response with the total number of applications, use duration, or in the years since first use.</p>	+++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
Morton et al. 2007 ⁴⁹	<p>Population-based case-control study of NHL in the USA. There were 1321 cases (aged 20-74 years) and 1057 age-, sex-, race-, and residency-matched controls from Iowa, Los Angeles County, metropolitan Detroit, and metropolitan Seattle. Known HIV-positive cases were excluded.</p> <p>Detailed information on patterns of hair dye use included duration of use (1-4 years, 5-14 years, ≥ 15 years), annually use frequency (1-4 times, 5-7 times, ≥ 8 times), number of lifetime use (1-24 times, 25-99 times, ≥ 100 times), hair dye type (permanent, semi-permanent, temporary), intense tone (black, dark brown, dark blonde), and dye color (black, brown, red).</p> <p>(1998-2000)</p>	<p>There were no overall association between permanent, semi-permanent and temporary hair dye use and bladder cancer risk among women or men.</p> <p>Risk estimates were higher for use before 1980 than for use after 1980, particularly for use of permanent, intense tone (black, dark brown, dark blonde) products (<1980: OR = 1.6, 95% CI: 0.9-2.7; ≥ 1980: OR = 0.6, 95% CI: 0.4-1.1).</p> <p>In subgroup analysis, women with ≥ 100 lifetime applications had a significantly elevated OR of 1.4 (95% CI: 1.0-2.0). Increased risk was also observed in women who used permanent, intense color tone products for ≥ 15 years prior to 1980 (OR = 3.9, 95% CI: 1.2-12.5), but no consistent dose-response patterns were observed with frequency, duration, or total lifetime applications.</p>	<p>Models adjusted for sex, age, race, and residency.</p> <p>The study particularly focused on the use of permanent dye, with dark colors and use before 1980, when hair dye formulations changed.</p> <p>The authors stated the current study's finding of the increased NHL risk was limited to women who used dark color or intense tone permanent hair dyes before 1980.</p> <p>No evidence of increased risk of NHL among women or men who began hair dye use after 1980.</p>	++++
Wong et al. 2009 ⁵⁰	<p>Hospital-based case-control study of AML in Shanghai, China. There were 722 confirmed AML cases and 1444 individually gender-age-matched patient controls at 29 hospitals in Shanghai, China.</p> <p>Information collected only on frequency of hair dye use (no-use, once every 6 months or less frequent, every 3 to 6 months, every 3 months or more often).</p> <p>(2003-2007)</p>	<p>There was no increase in the risk of personal use of hair dyes with AML-total (OR 0.98, 95% CI: 0.80-1.20), or its four subgroups: AML-RCA (OR 1.01, 95% CI: 0.72-1.40), APL (OR 1.01, 95% CI: 0.72-1.40), AML-MD (OR 0.83, 95% CI: 0.55-1.25), and AML-noc (OR 1.05, 95% CI: 0.75-1.46).</p> <p>In comparison, the study identified a number of risk factor for AML, such as smoking, particularly among the male subjects, as well as alcohol consumption and a low level of education.</p>	<p>Conditional logistic regression models considering the matching between cases and controls (gender and age) were used for the calculation.</p> <p>The study comprised subjects from 29 hospitals in Shanghai; the authors stated subjects might not be a representative sample of the entire patient population in Shanghai.</p>	+
Wong et al. 2010 ⁵¹	<p>Hospital-based case-control study consisting of 649 confirmed NHL cases and 1298 individually gender and age-matched patient controls at 25 hospitals in Shanghai, China.</p> <p>(2002-2003)</p>	<p>There was no increase in the risk of personal use of hair dyes with NHL-total (OR 0.93, 95% CI: 0.75-1.16), or any of its subtypes, such as B-Cell neoplasms (OR 0.94, 95% CI: 0.74-1.19), FL (OR 1.57, 95% CI: 0.72-3.46), and T/NK-Cell Neoplasms (OR 0.88, 95% CI: 0.48-1.61).</p> <p>For the subtype CLL/SLL, the authors reported a significantly lower risk associated with hair dye use with an OR of 0.37 (95% CI: 0.18-0.76).</p>	<p>The authors stated that they also examined NHL risk by frequency of hair dye use, and no trend or pattern was found (the data were not shown).</p> <p>While it appeared the use of hair dyes is not a risk factor for overall NHL, the authors stated certain subtypes could be more likely to be affected, and thus more studies focusing on specific subtypes were warranted.</p>	+
Chang et al. 2010 ⁵²	<p>Hospital-based case-control study of white male residents of Iowa and non-metropolitan areas of Minnesota, with 622 pathologically confirmed cases NHL and 1245 age and state-matched controls (aged ≥ 30) (1980-1983)</p> <p>Hair dye users enrolled with hair dye use at least once a month for ≥ 1 year, or occupational exposure to hair dyes on any job held for ≥ 1 year.</p>	<p>Positive associations were observed between hair dye use and t(14;18)-negative NHL (OR 2.90, 95% CI: 1.60-5.00) and bcl-2 positive NHL (OR 2.20; 95% CI: 1.40-3.40), but not with t(14;18)-positive NHL (OR 1.30; 95% CI: 0.60-2.60) or bcl-2 negative NHL (OR 1.40; 95% CI: 0.50-3.80).</p> <p>The authors pointed out the number of hair dye exposed cases was small: n = 12 for t(14;18)-negative NHL cases and n = 20 for bcl-2 positive NHL cases.</p>	<p>Adjusting for age, state, and proxy (next-of-kin) status.</p>	+
Lv et al. 2011 ⁵³	<p>Hospital-based case-control study of MDS. There were 403 diagnosed cases and 806 gender- and age-matched patient controls from 27 major hospitals in Shanghai, China.</p> <p>Information on hair dye use frequency (no-use, < 2 time/year, ≥ 2 times/year) and accumulative uses (no-use, < 70 times, ≥ 70 times) was collected.</p>	<p>Univariate analysis results showed hair dye users at frequency ≥ 2 times/year showed an elevated risk of all MDS (OR 1.46, 95% CI: 1.03-2.07), while multivariate analysis showed the OR was 1.31 (95% CI: 0.88-1.93), indicating that hair dye use (≥ 2 times/year) was a relative risk factor, not an independent risk factor.</p> <p>In univariate analysis, the ORs for hair dye users at frequency < 2 time/year and life time hair dye users were 1.24 (95% CI: 0.96-1.62) and 1.05 (95% CI: 0.68-1.63), respectively. The ORs for hair dye total lifetime use at < 70 times and ≥ 70 times were 1.28 (95% CI: 0.97-1.68) and 0.92 (95% CI: 0.34-2.51), respectively.</p>	<p>Multivariate logistic regression analysis was applied to examine whether the effects of the following parameters on MDS risk were independent to each other: use of Chinese medicines, exposure to high-voltage power lines, new building/renovation, benzene, pesticides, herbicides, gasoline, gules, tobacco smoking, alcohol intake, education.</p> <p>Based on univariate analysis results, the authors stated the trend test for number of hair dye users per year was statistically</p>	++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
	(2003-2006)	Associations were also examined between hair dye use (frequency and duration) with MDS subtypes RAEB and RCMD, all results were negative.	significant (p for trend = 0.02), however, the trend test for lifetime hair dye users did not attain statistically significant.	
Salem et al. 2014 ⁵⁴	Hospital-based case-control study of lymphoproliferative cancers in Egypt. There were 130 cases (107 NHL and 23 CLL) and 130 age and sex-matched controls. (2011-2012)	No significant association was found between lymphoproliferative disorders and history of using hair dyes ($\chi^2 = 0.47$, $p > 0.05$).	This study aimed to assess pesticide exposure as a risk factor for lymphoproliferative disorders in adults while other risk factors were also examined including hair dye use. The chi-square (χ^2) test was used to determine whether there is an association between categorical variables.	+
Parodi et al. 2016 ⁵⁵	Population-based case-control study of leukemia and NHL in Italy. There were 161 cases (120 lymphoid and 41 myeloid) and 84 randomly-selected controls among women in the population studied. Duration of hair dye use < 15 years vs ≥ 15 years was evaluated. (2002-2005)	Hair dye use for at least 15 years was associated with NHL (OR 2.3, 95% CI: 1.00-4.90, p for trend = 0.036), but hair dye use for less than 15 years was not associated with NHL (OR 1.40, 95% CI: 0.60-3.10). Leukemia was not associated with using hair dye for at least 15 years (OR 2.70, 95% CI: 0.90-7.90) or for less than 15 years (OR 2.70, 95% CI: 0.90-8.40). Further subtype analysis showed that among the total B cell malignancies, an increased risk was associated with hair dye use more than 15 years (OR 2.6, 95% CI: 1.2-5.6, p for trend = 0.048), but not with use less than 15 years (OR 1.3, 95% CI: 0.5-3.1).	Models were adjusted by age, gender and type of interview. The analysis was restricted to women in the population studies because too few of the men reported any hair dye use.	+
Arshad et al. 2018 ⁵⁶	Hospital-based case-control study of leukemia in Pakistan with 25 adult leukemia cases and 50 gender- and marital status-matched controls, and 40 children cases and 80 age and gender- matched controls. Interviews were carried out face-to-face with adults and with the parents of the children. (2014)	Increased leukemia risk was observed among hair dye users. The un-adjusted OR was 4.14 (95% CI: 1.28-4.95) for adults who reported ever-use of hair dye and 4.60 (95% CI: 1.57-4.60) for children (whose parents reported children's ever use of hair dye during interview), respectively.	The author stated OR and 95% CI were calculated for different exposures using Epi info 7. No more details of statistical methods or models were provided. The study identified a number of other leukemia risk factors for adult subjects, such as exposure to chemical factory, a positive family history of leukemia, a positive trauma history, live-in radiation area, etc.	+
Gao et al. 2018 ⁵⁷	Hospital-based case-control study of childhood leukemia in China with 958 cases (580 boys, 378 girls) and 785 controls (449 boys, 336 girls). Information on mothers' use of hair dye were collected, stratified by during pregnancy, during breastfeeding, and 3 months before pregnancy. (2008-2017)	Multivariable analysis indicated children whose mothers had exposure to hair dye during the breastfeeding (OR 13.56, 95% CI: 1.11-165.21) were at higher risk of developing leukemia than controls ($p = 0.041$). Mothers use of hair dye 3 months before pregnancy was not associated with risk of childhood leukemia (OR 0.26, 95% CI: 0.53-1.23). The OR for mothers' use of hair dye during pregnancy was 1, while numerical value for 95% CI was not available.	Non-conditional logistic regression analysis was applied for multivariate analysis to evaluate risk factors. This is a single medical center study. The study also identified many factors that can increase the risk of childhood leukemia, such as maternal age, smoking during pregnancy, abortion history, family history of malignant tumors, parents use of hair dye, using birth control pills before pregnancy, etc.	+
Rafieemehr et al. 2019 ⁵⁸	Hospital-based case-control study of ALL in Iran with 125 cases (age <15 years) and 130 age-, gender-, and residence matched-controls. (2015-1018)	No significant association was found between patient mothers' use of hair dye during pregnancy and the risk of ALL (OR 0.87, 95% CI: 0.32-2.37).	Logistic regression was used to estimate the risk.	+

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
<i>Bladder Cancer</i>				
Kogevinas et al. 2006 ⁶⁶	<p>A hospital-based case-control study in Spain with 152 women (age 67 ± 10.1 years) and 166 age-, gender-, and hospital-matched controls (age 67 ± 0.3 years).</p> <p>Detailed information on patterns of hair dye use included year first used (before or after 1970), type of dye (permanent and others), dye color (light or dark), frequency of use (no-use, <1 time every 3 months, once every 2 or 3 month, once every 2 or 3 months, ≥1 time/month), and type of application (self with or without gloves vs. hairdresser) lifetime cumulative exposure (no-use, ≤ 90 times, 91-210 times, 211-504 times, >504 times), and duration of use (≤10 years, 11-24 years, 25-32 years, > 32 years).</p> <p>(1998-2001)</p>	<p>No increased risk was associated with use of any hair dye (OR 0.8, 95% CI: 0.5-1.4) or of permanent hair dyes (OR 0.8, 95% CI: 0.5-1.5). No significant increase in risk was observed for use hair dyes at least 10 times (OR 1.3, 95% CI: 0.8-2.2).</p> <p>There was no trend in risk seen with increasing exposure for duration of use, average use, or cumulative use. Selected ORs were showed below: OR = 1.2 (95% CI: 0.5-2.7) for hair dye use > 32 years OR = 0.6 (95% CI: 0.3-1.4) for hair dye use > 504 times OR = 0.6 (95% CI: 0.3-1.1) for average use > 1 time/month</p>	<p>ORs were adjusted for age, region, and smoking status.</p> <p>The analysis was limited to women and based on small numbers.</p>	++++
Shakhssalim et al. 2010 ⁶⁷	<p>Population-based case-control study of bladder cancer in Iran with 692 cases and 692 gender- and age- controls (262 women vs 1122 men in total).</p> <p>People using hair dye once or more in a year were considered as hair dye users.</p> <p>(2006)</p>	<p>Adjusted OR (men and women combined) for hair dye use and bladder cancer was 1.99 (95% CI: 1.04-3.82).</p> <p>However, when women and men were analyzed separately, no significant association with hair dye use and bladder cancer was reported (ORs values were not available).</p>	<p>The conditional logistic regression models were used, and OR was adjusted for smoking.</p> <p>The study also identified several other lifestyle factors had significant correlations with bladder cancer, such as smoking, opium use, and history of excessive analgesic use.</p>	+
Koutros et al. 2011 ⁶⁸	<p>Population-based case-control study with 193 cases (911 male and 282 female) and 1,418 state-, gender-, and age-matched controls (1039 male and 378 female) in Maine, Vermont, and New Hampshire, USA.</p> <p>Detailed information on patterns of hair dye use included the age at first use, year first used (<1980, ≥1980), year last used (<1980, 1980-1989, 1990-1999, 2000+), age at first use, duration of use (no-use, <10 years, 10-19 years, 20-29 years, 30+ years), number of lifetime use (no-use, <50 times, 50-99 times, 100-199 times, 200+ times), type of dye (dark permanent, exclusive permanent, semi-permanent, temporary), depth of color (light blonde, medium/dark blonde, light brown, light red, med/dark brown, med/dark red), dye color (blonde, red, brown, black).</p> <p>(2001-2004)</p>	<p>There were no overall association between age at first use, year of first use, type of product, color, duration, or number of applications of hair dyes and bladder cancer risk among women or men.</p> <p>The ORs of ever hair dye use were 0.7 (95% CI: 0.5-1.0) among women and 0.7 (95% CI: 0.4-1.0) among men, respectively.</p> <p>Exclusive use of permanent hair dyes was not related to risk among women (OR 0.8, 95% CI: 0.5 -1.2) and men (OR 0.6, 95% CI: 0.3 -1.1).</p> <p>As for cumulative uses, selected ORs were presented below: OR = 1.4 (95% CI: 0.7-2.8) for exclusive permanent use > 30 years OR = 0.9 (95% CI: 0.4-1.7) for exclusive permanent use > 200+ times OR = 0.5 (95% CI: 0.2-1.4) for semi-permanent use > 30 years OR = 0.8 (95% CI: 0.4-1.8) for semi-permanent use > 200+ times</p> <p>Subgroup analysis identified an increased risk of bladder cancer (OR 3.3, 95% CI: 1.2-8.9) among women who had a college degree and used permanent dyes, while the OR was 0.5 (5% CI: 0.40 - 0.70) among women without college degree.</p>	<p>Models adjusted for age, race, state, and smoking.</p> <p>Numbers of subjects in stratified analyses were often small, resulting in imprecise estimates, particularly in genotype /phenotype subgroups.</p> <p>The authors stated the increased risk observed among college educated women could be due to chance; the results warrant replication to rule out the possibility of a false positive result from the multiple tests of interaction.</p>	++++
Ros et al. 2012 ⁶⁹	<p>Population-based case-control study in the Netherlands, with 1385 cases (246 women) and 4754 age- and sex-matched controls (2587 women).</p> <p>Detailed information on patterns of hair dye use included dye entire or part of hair, dye color (Blond, brown, black, red and other), lifetime use (no-use, ≤40 times/life, >40</p>	<p>No association was observed between bladder cancer risk and use of permanent hair dye (OR 0.87, 95% CI: 0.65-1.18) and temporary dye (OR 0.7, 95% CI: 0.58-1.02).</p> <p>No association was found when patients were stratified by prognostic subtype of bladder cancer: aggressive (OR 0.50, 95% CI: 0.24-1.05) vs. non-aggressive (OR 0.68, 95% CI: 0.37-1.24).</p>	<p>All analyses were adjusted for age and smoking (duration and intensity).</p> <p>Additional adjustment for education level and other variables considered were not included in the final model because they did not change the standardized regression coefficient (β) by more than 10%.</p>	++++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
	times/life), duration of use (no-use, ≤10 years, >10 years). Bladder cancer subtype was stratified by aggressive cancer or non-aggressive cancer. (1975-2009)	No association was found between bladder cancer risk and duration of hair dye use, number of times used per year, total number of times used over a lifetime, dyeing all the hair or only part of the hair, or dye color (none of the subjects reported use of black dye). Selected ORs were presented below: OR = 0.86 (95% CI: 0.55-1.35) for exclusive permanent use >40 times OR = 0.78 (95% CI: 0.33-1.83) for exclusive permanent use >5 times/year OR = 0.91 (95% CI: 0.57-1.46) for exclusive permanent use >10 year OR = 0.86 (95% CI: 0.56-1.31) for dye entire hair (exclusive permanent) OR = 0.86 (95% CI: 0.50-1.50) for brown color (exclusive permanent)	Analyses were not performed for the men selected for the study because less than 5% reported ever using hair dyes.	
<i>Brain Cancer</i>				
Holly et al. 2002 ⁷¹	Population-based case-control study conducted in the west coast of the USA (Los Angeles, San Francisco, and Seattle), with 540 CBT cases (<20 years) and 801 birth- and sex-matched controls. Subjects' mothers reported hair dye use (permanent, semi-permanent, temporary) before and during pregnancy (used intermittently vs. used continuously; used 1 month before conception or in first/second/third trimester). (1984-1991)	Overall, exclusive use of permanent dye, semi-permanent, temporary dye or hair darkeners before or during pregnancy was not associated with risk for CBT. Selected ORs were presented below: OR = 0.96 (95% CI: 0.69-1.3) for ever-use hair dye during pregnancy or 1 month before conception OR = 1.40 (95% CI: 0.88-2.3) for continuous use OR = 0.88 (95% CI: 0.60-1.3) for permanent dye use 1 month before and/or during pregnancy OR = 2.50 (95% CI: 0.58-10) for semi-permanent dye 1 month before conception Risks for the three major subtypes of CBT, astrocytic tumors (OR 1.00, 95% CI: 0.69-1.5), PNET (OR 0.97, 95% CI: 0.51-1.9) and other gliomas (OR 0.76, 95% CI: 0.40-1.4), were not associated with the use of hair dyes during pregnancy.	Age- and sex-adjusted unconditional logistic regression analyses were performed. Interviews on mothers' use of hair dye were conducted up to 20 years after the index children's birth, thus reports of exposures regarding type of hair dye and frequency of use by trimester may not be accurate.	+++
<i>Prostate Cancer</i>				
Tai et al. 2016 ⁷⁴	Hospital-based case-control study of prostate cancer in Taiwan with 296 cases and 296 age-, ethnicity-, and hospital-matched controls from 2 large medical centers in Southern Taiwan. Another 608 incident prostate cancer cases were investigated to determine the rate of prostate cancer survival. Detailed information on patterns of hair dye use included age of first use, duration of use (no-use, ≤10 years, >10 years), frequency (no-use, ≤6 times/year, >6times/years), year of first use (after or before 1980). (2000-2007)	The prevalence of hair dye use was higher in the cases than the controls (95/296 = 32.1% vs. 64/296 = 21.6%, p < 0.05), and the hair dye users had increased odds of prostate cancer when compared with the non-users (OR 2.15, 95% CI: 1.32-3.57). The increased risk was observed in patients who started to use hair dye products before 1980 (OR 2.16, 95% CI: 1.28-3.68), but not in those started to use after 1980 (OR 0.89, 95% CI: 0.11-5.90). The authors stated dose-response effects of increased exposure duration and frequency were observed (p _{trend} < 0.01). The relevant ORs were shown below: OR = 1.57 (95% CI: 0.82-3.03) for duration of use ≤ 10 years OR = 2.54 (95% CI: 1.23-5.41) for duration of use >10 years OR = 1.73 (95% CI: 0.91-3.32) for frequency of use ≤ 6 times/year OR = 2.65 (95% CI: 1.26-5.78) for frequency of use > 6 times/year In the survival analysis, of the 608 cases, 26.4% (161/608) reported having used hair dyes. The use of hair dye did not affect cumulative incidence estimates of prostate cancer-specific deaths (p = 0.753).	Models adjusted for age, marital status, blood type, education, family history of prostate cancer, smoking, alcohol consumption, and betel nut chewing. Although the color of hair dyes was not included in questionnaires, the author stated most people use black or dark hair dyes in Taiwan.	++
<i>Testicular Cancer</i>				
Ghazarian et al. 2018 ⁷⁷	Population based case-control study of testicular germ cell tumors (TGCT) in the US with 527 mothers of TGCT cases and 562 mothers of age-, and race--matched controls in US STEED study.	Maternal use of hair dye (OR 0.80, 95% CI: 0.54-1.18), hairspray (OR 1.17, 95% CI: 0.89-1.55), or permanent wave (OR 1.18, 95% CI: 0.86-1.62) during pregnancy and breastfeeding was not associated with TGCT risk in sons.	Models adjusted for maternal age at delivery, race, duration of product use, weight gain during pregnancy, son's age at diagnosis, family history of TGCT, and cryptorchidism.	+

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
	Information on frequency of hair dye use in mothers were collected (\leq once/week vs. $>$ once/week). (2002-2005)			
<i>Salivary Gland Cancer</i>				
Spitz et al. 1990 ³²	Hospital-based case-control study of salivary gland cancers in Houston, with 64 cases and 128 sex-, race- and ethnicity-matched controls from MD Anderson Cancer center. Randomly selected controls excluded patients with cancer of the head and neck or nonmelanoma skin cancer. Information on duration of hair dye use in men and women subjects were collected (\leq 15 years vs. $>$ 15 years). (1985-1989)	Ever-use of hair dye was not associated with increased risk of salivary gland cancer. The OR was 2.3 (95% CI: 0.9-6.2) and 3.5 (95% CI: 0.9-12.8) for hair dye use \leq 15 years or $>$ 15 years, respectively. Subgroup analysis indicated hair dye use was significantly related to salivary gland cancers risk in women (OR 2.5, 95% CI: 1.2-5.2).	Multiple logistic regression analysis was performed on univariate risk factors, including higher education, alcohol consumption, prior radiotherapy, and mouthwash, and hair dye use.	+
<i>Genetic Polymorphism</i>				
Koutros et al. 2011 ⁶⁸	Information regarding study population and hair dye exposure assessment are presented above under Bladder Cancer section.	Among all women, the interactions between genetic variants (NAT1, NAT2, GSTM1, and GSTT1) and hair dye use were not statistically significant. In the subgroup of women with college degree, an increased risk of bladder cancer was observed among exclusive permanent hair dye users who had NAT2 slow acetylation phenotype (OR 7.3, 95% CI: 1.6-32.6), compared to never users of dye who had NAT2 rapid/intermediate acetylation phenotype. But the authors stated the interaction was not statistically significant (such analysis was based on 15 cases and 6 controls). Among women with a college degree, there were no differences in associations based on NAT1 (non NAT1*10 vs. any NAT1*10) or GSTM1 (any active vs. null) genotype.	There was an observed increased risk of bladder cancer associated with permanent hair dye use among college educated women with GSTT1-active genotypes compared to GSTT1 null genotypes (OR 5.9, 95% CI: 1.7-20.0); however, the author stated it may be a chance association due to the lack of evidence for the presence of GSTT1-metabolized conjugated mutagenic intermediates in hair dyes and the low prevalence of GSTT1 null genotype. Genetic analyses adjusted for smoking displayed similar results (data not shown).	++++
Gago-Dominguez et al. 2003 ⁷⁸	A population-based case-control study, with 159 women cases and 164 sex-, race-, age-, and residency-matched controls. Information on hair dye uses included type (permanent, semi-permanent, temporary), cumulative use ($<$ 100 times or 100+ times), frequency (no-use, $<$ 12 times/year or 12+ times/year) and duration of uses (no-use, $<$ 15 years or 15+ years). Modifying effects of genotypes/phenotypes (GSTM1, GSTT1, GSTP1, NAT1, NAT2, CYP1A2) on the permanent hair dye-bladder cancer association were evaluated. (1992-1996)	Women with the NAT2 slow-acetylator phenotype who exclusively used permanent hair dyes had an increased risk of bladder cancer (OR 2.90, 95% CI: 1.30 - 7.50) after adjustment for cigarette smoking, compared to individuals with the NAT2 rapid-acetylator phenotypes (OR 1.30, 95% CI: 0.60-2.80). Women with a NAT1*10 genotype who were non-smokers and used permanent hair dyes exclusively had an OR of 1.00 (95% CI: 0.20-4.30), and those with a non-NAT1*10 genotype had an OR of 6.80 (95% CI: 1.70-27.40) in this study. Statistically significant associations between permanent hair dye use and bladder cancer risk were observed among subjects exhibiting the NAT2 slow phenotype, NAT1*10 genotype, or slow CYP1A2 phenotype (p for trend $<$ 0.05, for duration of use, frequency of use, and cumulative lifetime use, respectively). No difference was seen in risk of bladder cancer from permanent hair dye exposure when subjects were stratified by genotypes of NAT1, GSTM1, GSTT1, or GSTP1.	ORs were adjusted for smoking (intensity and duration), age, and ethnicity. The modifying effect of the NAT1 genotype is absent among smokers. The reason might be, according to the authors, the smokers were exposed chronically to 4-aminobiphenyl, an aromatic amine present in cigarettes that can own-regulate NAT1 in skin.	+++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
Kogevinas et al. 2006 ⁶⁶	Information regarding study population and hair dye exposure assessment are presented above under Bladder Cancer section. Multiplicative interactions between hair dye use and genotypes were further evaluated, including NAT1, NAT2, CYP1A2, GSTM1, GSTT1, and GSTP1.	Genotype-specific analysis indicated among carriers of the NAT1*10 allele, use of permanent hair dyes was associated with a higher OR of 2.9 (95% CI: 0.7-11.6) compared to non-users. No interaction was not observed between polymorphisms in NAT2, GSTM1, GSTT1, GSTP1, or CYP1A2 with hair dye uses.	In a conventional logistic regression analysis, the interaction between NAT1*10 genotype and use of permanent hair dyes was not significant (p = 0.07), while in the lagged analysis, the OR was 3.6 (95% CI: 1.0-13.0) among carriers of the NAT1*10 allele, compared to non-users.	++++
Morton et al. 2007 ⁴⁹	Information regarding study population and hair dye exposure assessment are presented above under Hematologic Cancer section. Association between NAT1 and NAT2 genetic variation and NHL risk was further examined.	Increased NHL risks associated with hair dye use before 1980 were observed among NAT2 rapid/intermediate acetylation phenotype, but not among NAT2 slow acetylation phenotype. For instance, for use of permanent, intense tone products, OR was 3.3 (95% CI: 1.3-8.6) for NAT2 rapid/intermediate acetylators, and OR was 1.5 (95% CI: 0.6-3.6) for NAT2 slow acetylators, respectively. Women with one or two copies of the NAT1*10 allele also had higher increases in NHL risk associated with use of permanent dye (including dark color and intense tone products) prior to 1980 than women with no copies of the NAT1*10 allele: NAT1*10 allele OR = 2.5 (95% CI: 1.1-5.9) for permanent dye (any) NAT1*10 allele OR = 2.3 (95% CI: 0.5-9.5) for cumulative applications ≥ 25 NAT1*10 allele OR = 1.6 (95% CI: 0.5-4.6) for duration of use ≥ 5 years	No NHL risks were identified among men or women who began hair dye use after 1980.	++++
Zhang et al. 2009 ⁷⁹	A population-based case-control study with 461 female NHL cases (aged 21-84 years), and 535 age-matched (±5 years) controls who provided blood samples for genotype analysis. Cases were identified from Connecticut Tumor Registry database (same study population was examined in Zhang et al. 2004 ⁴²) (1996-2022)	None of the different individual genes examined was associated with a statistically significant change in the risk of NHL for any of the NHL subtypes considered, except FL (a major subtype of NHL). Among women who started using hair dye before 1980 as compared with never users, a statistically significantly increased risk of NHL was found for carriers of CYP2C9 Ex3-52C>T TT/CT genotypes (OR 2.9, 95% CI: 1.4-6.1), CYP2E1 -332T>A AT/AA genotypes (OR 2.0, 95% CI: 1.2- 3.4), a homozygous or heterozygous 3-base-pair deletion in intron 6 of GSTM3 (OR 2.3, 95% CI: 1.3- 4.1), GSTP1 Ex5-24A>G AA genotypes (OR 1.8, 95% CI: 1.1-2.9), or NAT2 genotypes conferring intermediate/rapid acetylator status (OR 1.6, 95% CI: 1.0-2.7). In contrast, no significantly increased risk was observed for starting hair dye use before 1980 (relative to never use) among women who were homozygous wild-type for the CYP2C9, CYP2E1, or GSTM3 polymorphisms, women carrying 1 or 2 copies of the variant GSTP1 allele, or women who were slow NAT2 acetylators.	Analyses were adjusted for age and race. The author stated other variables, such as smoking, alcohol consumption, and farming history, did not result in material changes in the observed associations, and thus not included in the final models. No effect modifications were observed for women who started using hair dyes in 1980 or later. A total of 19 single nucleotide polymorphisms in 9 xenobiotic genes were genotyped, including CYP1A1 (rs1048943), CYP1A2 (rs762551), CYP1B1(rs1056836), CYP2C9 (rs1799853), CYP2E1 (rs2070673 and rs2031920), GSTM3 (rs1799735), GSTP1 (rs1695 and rs1138272), NAT1 (rs4987076, rs13249533, rs1057126, and rs15561), and NAT2 (rs1041983, rs1801280, rs1799929, rs1799930, rs1208, and rs1799931).	+++
Guo et al. 2014 ⁸⁰	518 female NHL cases and 597 controls identified from Connecticut Tumor Registry database (same study population was examined in Zhang et al. 2004 ⁴²). 24 single nucleotide polymorphisms in 16 DNA repair genes were examined. (1996-2000)	No associations for hair dye use before 1980 with risk of DLBCL were observed when stratifying by the genotypes for any of the 24 SNPs. The following 10 genotypes in combination with hair dye use before 1980 were associated with FL risk: BRCA2 rs144848 AC+CC (OR = 3.28, 95 % CI: 1.27-8.50) WRN rs1346044 TT (OR = 2.70, 95 % CI: 1.30-5.65) XRCC3 rs861539 CT+TT (OR = 2.76, 95 % CI: 1.32-5.77) XRCC4 rs1805377 GG (OR = 2.07, 95 % CI:1.10-3.90) rs1056503 TT (OR = 2.17, 95 % CI:1.16-4.07) ERCC1 rs3212961 CC (OR = 1.93, 95 % CI:1.00-3.72) RAD23B rs1805329 CC (OR = 2.28, 95 % CI:1.12-4.64) MGMT rs12917 CC (OR = 1.96, 95 % CI:1.06-3.63) rs2308321 AA (OR = 2.02, 95 % CI:1.09-3.75) rs2308327 AA genotypes (OR = 2.23, 95 % CI:1.16-4.29)	Models were adjusted for age, race, and smoking status. No sufficient cases for analyzing NHL subtypes of CLL/SLL and MZBCL. There was no association between NHL, FL, or DLBCL in women who began using hair dyes after 1980.	+++

Table 2. Case-control studies considered by the Panel.				
Author	Study type/Methodology (diagnosis period)	Results	Adjustment/Note	Study scale*
		A significant interaction with risk of overall NHL was observed between WRN rs1346044 and hair dye use before 1980 (p = 0.032).		

* Based on the Rollison et al. (2006) scale⁷:

+: Assessed ever/never use;

++: Assessed the type of hair dye, or dye type plus dye color or duration, or with information on two or three other factors (color, frequency, duration), but no information on type;

+++ : Assessed dye type, color, and frequency or duration of use;

++++: Assessed all four critical aspects: hair dye type, color, duration, and frequency of use

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
<i>Breast Cancer</i>			
Takkouche et al. 2005 ³¹	12 case-control studies (5019 cases and 8486 controls), and 2 cohort studies (665,993 participants with 1135 breast cancer cases). (1977-2002)	The random-effects pooled RR of breast cancer for any type of dye was 1.06 (95% CI: 0.95-1.18). The pooled RR for exclusive use of permanent dye was 1.00 (95% CI: 0.94-1.05), and was 0.99 (95% CI, 0.89-1.11) for intensive exposure (≥ 200 times). There was no substantial difference in pooled RRs across study designs (2 cohort, 7 hospital-based case-control, and 5 population-based case-control studies).	When information in the publications were missing, the authors assumed an average frequency of hair dyeing of 11.7 times/year among women. Heterogeneity of the study specific RRs was moderate to large for case-control studies (study variance $R_i = 0.62$), and all studies analyzed together ($R_i = 0.68$).
Gera et al. 2018 ⁸¹	8 case-control studies with 11,079 cases and 26,958 controls (conducted in Iran, Finland, and USA). (1980-2017)	In a random-effects model, the pooled RR for breast cancer risk following hair dye exposure was 1.15 (95% CI: 0.996-1.319). The adjusted combined effect according to the Duval and Tweedie's Trim and Fill procedure (adjust for publication bias) revealed a RR of 1.18 (95% CI: 1.03-1.37).	Of the 24 studies initially considered relevant, 5 prospective studies, which did not show any association between hair dye use and breast cancer, were excluded in the final meta-analysis. Various reasons led to the exclusion of these prospective studies: the use of HR instead of OR/RR, documentation of death rate rather than cancer incidence, absence of information on the number of controls, unavailability of baseline category details, and the study had a high focus on other types of cancer. The author stated the reliability of this statistical analyses has decreased because of the large number of excluded prospective studies. The authors stated there was significant heterogeneity among studies involved in the meta-analysis, and no uniform adjustment for confounding factors were conducted across studies.
Xu et al. 2021 ⁴⁵	11 case-control studies (44,614 subjects) and 3 prospective cohort studies (165,785); subjects from the North America, Asia, Europe, and Australia. (1978-2019)	A slightly increased breast cancer risk was found in hair dyes users (random-effect pooled OR = 1.07; 95% CI: 1.01-1.13). Specifically, with permanent hair dye use OR = 1.08 (95% CI: 1.03-1.14), with semi-permanent hair dye use OR = 1.09 (95% CI: 0.92-1.28), with rinse (temporary) hair dye use OR = 1.17 (95% CI: 1.0-1.35), and with straightener use OR = 1.04 (95% CI: 0.96-1.14). No impact was identified on the overall correlation between hair dyes and breast cancer risk when subjects were stratified by race (White vs. non-White: pooled OR = 1.05; 95% CI: 0.86-1.29), duration of use (<10 years vs. ≥ 10 years: pooled OR = 0.96; 95% CI: 0.85-1.08) or dye color (dark vs. light: pooled OR = 0.91; 95% CI: 0.62-1.32).	For studies included, the most common nation of origin was the USA (9 studies). Only English publications were included, and the authors stated that nearly 50% of included studies were at high risk of selection bias. As the authors pointed out, clinical heterogeneity might result from differences in the chemical formulations of hair care products, dyeing frequency and the breast cancer family history of analyzed subjects across all included studies. Of note, the Eberle et al. 2020 study ²⁹ described above was included in such meta-analysis. The assigned weights of the Eberle et al. 2020 study were 41.3, 25.97, 29.33, and 48.71% in the calculation of ORs for permanent hair dye use, semi-permanent hair dye use, rinse (temporary) hair dye use, and straightener use, respectively. This means that relatively high weights were given to the Eberle et al. 2020 study during the statistical calculations, which consequently had a significant influence on meta-analysis outcomes.

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
Farooq et al. 2024 ³³	9 cohort studies, 6 case-control studies, and 2 cross-sectional studies, subjects from USA, Canada, and Athens. (1979-2022)	<p>A sub-analysis of the findings from Zhang et al. (2020)²⁸ and Eberle et al. (2020)³⁴ demonstrated a 1.08-fold increased risk of breast cancer among women who used permanent hair dye (95% CI: 1.01-1.15).</p> <p>No risk was observed among white women using either permanent hair dye (HR = 1.07, 95% CI: 0.8-1.26) or semi-permanent hair dye (HR = 0.92, 95% CI: 0.50-1.33).</p> <p>No association was found between hair dye use and uterine cancer in any studies investigated.</p> <p>There were mixed findings regarding hair dye use and the risk of ovarian and uterine cancers. The authors noted that a small number of identified studies on these cancer types might lead to results bias.</p> <p>The authors concluded that the current evidence is insufficient to establish a positive association on the personal use of hair products and gynecologic conditions.</p>	<p>A systematic review included 17 studies examining the association between hair product use and both malignant and benign gynecologic conditions, such as cancers of the breast, vagina, ovary, and uterus, as well as endometriosis.</p> <p>Most studies controlled confounding factors in statistical analysis, including age and ethnicity.</p> <p>The formulations of the hair dyes used in the various studies were not evaluated, as this information was not reported.</p> <p>Further sub-analysis was conducted on studies assessing the relationship between hair product use and breast cancer risk, with stratification by race.</p>
<i>Hematologic Cancer</i>			
Takkouche et al. 2005 ³¹	31 case-control studies (8565 cases and 13,641 controls), and 9 cohort studies (672,436 participants with 712 cases). (1981-2005)	<p>When all hematopoietic cancers were combined, including NHL, HL, multiple myeloma, and leukemia, the pooled RR for ever-users of hair dye was 1.15 (95% CI, 1.05-1.27).</p> <p>The increased risk is restricted to case-control studies (pooled RR 1.23, 95% CI: 1.09-1.39); in comparison, no risk increase was observed when all cohort studies were combined (pooled RR 1.01, 95% CI: 0.89-1.16).</p> <p>More specifically, the increase in case-control studies is restricted to the 17 case-control studies with data on men (pooled RR 1.57, 95% CI: 1.33-1.84).</p> <p>No risk was observed when analysis focused on women (RR 1.04, 95% CI: 0.97-1.11), or to exclusive use of permanent dyes (≥ 200 times) in both men and women (random-effects pooled RR 1.14, 95% CI: 0.99-1.29).</p> <p>Additionally, the results of intensive exposure did not show any association between hair dyes exposure and hematopoietic cancers (RR 1.12, 95% CI: 0.98-1.28).</p>	<p>Adjustment for smoking did not affect the results.</p> <p>The funnel plot for measuring publication bias showed substantial asymmetry ($p = 0.02$).</p> <p>The author stated in their analysis, several case-control studies used the same comparison group for different outcomes, which could result in finding more statistically significant associations than they actually exist. The authors further pointed out such multiple comparison issue may partially explain the positive results for hematopoietic cancers.</p>
Zhang et al. 2008 ⁵⁹	4 case-control studies (4461 cases and 5799 controls); all studies were included in the InterLymph project. (1988-2003)	<p>Increased risk of NHL (pooled OR 1.3, 95% CI: 1.1-1.4) was observed among women who began using hair dye before 1980, but not among women who started use in 1980 or later (pooled OR 1.1, 95% CI: 0.9-1.2).</p> <p>Further stratified analyses by NHL subtype were conducted in subjects who started using hair dyes before 1980. The results indicated increased risk for FL (OR 1.4, 95% CI: 1.1-1.9) and CLL/SLL (OR 1.5, 95% CI: 1.1-2.0) but not for other NHL subtypes.</p> <p>Risk of NHL was not associated with hair-dye use before or after 1980 among men.</p>	<p>The final model was adjusted for age, gender, race (White, Black, or other), and study center.</p> <p>The current analysis investigated the relation between hair dye use and NHL risk in separating persons who started using hair dyes before 1980, compared to those who started using hair dyes in 1980 or later.</p>

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
Linet et al. 2014 ⁶⁰	19 case-control studies (3530 FL cases and 22,639 controls); all studies were included in the InterLymph NHL Subtypes Project, and conducted in Europe, North America, and Australia. (1991-2011)	FL risk was examined in females only. No associations between FL and hair dye use type, duration, or frequency were found in this study (data not shown in the study), except for a modest increase in women who used hair dyes before 1980 (adjusted OR 1.40, 95% CI: 1.10-1.78).	Age-, race/ethnicity-, sex- and study-adjusted ORs and 95% CI were estimated using logistic regression. The analysis evaluated many risk factors for FL, such as medical history, lifestyle, and family history of cancer.
Cerhan et al. 2014 ⁶¹	19 case-control studies (4667 DLBCL cases and 22,639 controls), Studies were included in the InterLymph project, and conducted in Europe, North America, and Australia. (1991-2011)	There were no overall and sex- or age-specific associations between DLBCL and hair dye use, based on the basic adjusted model results of this study. The pooled OR of mediastinal DLBCL was 4.97 (95% CI: 1.63-15.15) for use of hair dyes for at least 20 years, compared with non-use. Pooled ORs were 0.58 (95% CI: 0.21-1.62) and 0.15 (95% CI: 0.02-1.22) for use of hair dyes for 1-8 years and 9-19 years, respectively. Using hair dyes for ≥ 20 years was not associated with DLBCL at other anatomical sites, including CNS, testis, gastrointestinal tract, and skin. Use of hair dyes for < 20 years was not associated with DLBCL at any site. In comparison, smoking was associated with CNS, testicular and cutaneous DLBCLs in this study. When analysis stratified by ever hair dye use before or after 1980, there was no associated risk with DLBCL was identified: OR = 2.75 (95% CI: 0.91-8.29) for ever hair dye use < 1980 OR = 0.56 (95% CI: 0.22-1.45) for hair dye use only ≥ 1980	HIV-associated DLBCL was excluded in the analysis. Unconditional logistic regression models were used to estimate OR and 95% CI with each exposure variable, adjusted for age, sex, race/ethnicity, and study ("basic adjusted models"). The authors indicated the results were not adjusted for multiple comparisons, although most of those exposures had a strong priori probability.
Towle et al. 2017 ⁶²	16 case-control and 4 cohort studies, conducted in North America, Europe, and Asia. (1985-2016)	Ever-use of hair dye was associated with a non-statistically significant increased risk of leukemia (meta-RR 1.09, 95% CI: 0.97-1.22). Specifically, with permanent hair dye use RR = 1.19 (95% CI: 1.07-1.33), with dark hair dye use RR = 1.29 (95% CI: 1.11-1.50), with hair dye use among males RR = 1.42 (95% CI: 1.01-2.00), with hair dye use pre-1980 RR = 1.49 (95% CI: 1.21-1.83), and with hair dye use for longer than 15 years RR = 1.35 (95% CI: 1.13-1.62). When adjustment of smoking was conducted, ever-use of hair dye was not associated with leukemia, meta-RR = 0.99 (95% CI: 0.76-1.29).	The authors indicated exposure profiles that may influence the risk of disease were not adequately characterized (e.g., only collected information on "ever" use of hair dye) The authors further indicated the same control populations were applied in all calculations of risks for NHL, multiple myeloma, or leukemia; thus these calculations may not be considered independent; multiple comparisons may cause observed statistically significant associations that do not exist.

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
Qin et al. 2019 ⁶³	13 case-control studies (10,399 cases and 20,013 controls) and 3 cohort studies (720,019 participants) (1988-2015)	The OR of 13 case-control studies was 1.13 (95% CI: 0.86-1.84), and the OR of 3 cohort studies was 1.16 (95% CI: 0.91-1.69). When all studies were combined, the random-effect OR = 1.14 (95% CI: 1.01-1.29). The OR of NHL was 1.38 (95% CI: 1.01-2.20) for female hair colorant users, while OR = 1.04 (95% CI: 0.86-1.25) in male users. The duration of hair colorant use recorded in these studies was divided into 3 groups: <10 years (OR 1.19, 95% CI: 0.90-1.88), 10-20 years (OR 1.20, 95% CI: 1.02-1.95), and >20 years (OR 1.34, 95% CI: 1.04-1.92). Regarding regional differences in these 16 studies, there were no prominent differences of OR values between North America, Europe and Asia.	Study objects in the present study were only from articles published in English or Chinese. Overall heterogeneity index $I^2 = 79.7\%$, indicating that there was heterogeneity among these diverse studies. As the authors stated, across studies in the meta-analysis, various questionnaires were specifically designed for hair colorants; differences in color and coloring time of hair colorants may have resulted in the evaluation to be incorrect; telephone or E-mail follow-ups for hair colorant use were also provided with bias. The authors indicated bias may exist in consideration of the small quantities of some of the subgroup analysis data. Methodological discrepancies and confounding factors might affect the final outcome.
Odutola et al. 2020 ⁶⁴	4 case-control studies and 1 pooled case-control study (4687 cases and 30,137 controls). (1976-2009)	Hair dye use before 1980 was positively associated with FL risk (meta-RR 1.66; 95% CI: 1.22-2.25; $I^2 = 54.7\%$) but no evidence of effect after 1980.	Only articles published in English were included, and the identified study populations were predominantly Caucasian. The observed heterogeneity between studies examining smoking and former alcohol intake indicates low confidence in the validity of their respective meta-estimates. One pooled case-control study was included in this meta-analysis, which referred to Linet et al 2014 ⁶⁴ , as summarized above.
Ahmadi et al. 2022 ⁶⁵	28 case-control studies (12,313 cases and 27,955 controls) conducted in the USA, Europe, and Asia. (1990-2017)	In 17 studies, the pooled OR of hematopoietic cancers for general use of any type of hair dyes in women was 1.10 (95% CI: 1.01-1.20, $I^2 = 58.2\%$). 11 studies investigated hair dye manufactured before and after 1980 as a risk factor for cancer; the pooled OR was 1.31 (95% CI: 1.08-1.59, $I^2 = 59.5\%$) for using hair dye made before 1980, while the use of hair dye made after 1980 was not associated with cancer incidence (OR = 0.99; 95% CI: 0.89-1.10, $I^2 = 1.9\%$). 13 studies examined the use of light and dark hair dye; the use of dark hair dye was associated with increased cancer rates (OR = 1.09; 95% CI: 0.95-1.25, $I^2 = 47.8\%$).	The I^2 heterogeneity index for all studies on hematological cancers was 30.8%. The majority of the studies included were conducted among Caucasians. The inclusion criteria of the meta-analysis were case-control studies evaluating the association between hair dye use and cancer in women. As the authors discussed, the type of studies included was all case-control, which was subjected to inherent problems such as selection bias as well as recall and observer bias (e.g., if the cases were more likely to report hair dye exposure, the actual effect might be misestimated).
<i>Bladder Cancer</i>			
Takkouche et al 2005 ³¹	The 9 case-control studies (5740 cases and 9290 controls), and 1 cohort study with 336 cases (547,571 participants). (1977-2004)	The pooled RR for all studies did not show any effect of hair dye on bladder cancer (RR 1.01, 95% CI: 0.89-1.14). After adjustment of smoking, the pooled RR = 1.05 (95% CI: 0.93-1.19). No substantial heterogeneity across all studies was detected ($p = 0.41$ in Q test). In the stratified analysis, RR = 1.13 (95% CI: 0.93-1.38) for permanent dyes use, RR = 1.33 (95% CI: 0.69-2.56) for intensive exposure (≥ 200 times), RR = 1.03 (95% CI: 0.90-1.17) for women and RR = 0.93 (95% CI: 0.77-1.13) for men.	As the authors pointed out, individual studies may have failed to control for potential confounders/effect modifiers; for instance, there were studies showing that bladder cancer among hair dye users is restricted to the specific genotype/phenotype of N-acetyltransferase, while such genetic factor as a potential effect modifier was not addressed in the individual studies.

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
Turati et al 2014 ⁷⁰	15 case-control studies (8504 cases/deaths and 14,102 controls) and 2 cohort studies (617,937 participants). (1968-2011)	The pooled RR of bladder cancer incidence/mortality was 0.93 (95% CI: 0.83-1.05, I ² = 34.1%) for personal use of any type of hair dye. When the subjects were stratified by sex: RR = 0.95 (95% CI: 0.85-1.06) for women and RR = 0.81 (95% CI: 0.64-1.02) for men. The RR for personal use of permanent hair dyes based on results of 7 studies was 0.92 (95% CI: 0.77-1.09). The pooled RR for the use of dark-color hair dyes was 1.29 (95% CI: 0.98-1.71), based on 4 studies reporting results for use of dark-colored dyes. No association was found between bladder cancer and the duration or lifetime frequency of use of any type of hair dye or use of permanent hair dyes.	The authors indicated that recall bias might exist for case-control studies, which represent the majority of studies included in this meta-analysis. The original studies may have failed to control for potential confounders. When considering 12 studies adjusting for smoking (the major risk factor for bladder cancer), similar results were obtained (RR = 0.94, 95% CI: 0.82-1.08).
<i>Brain Cancer</i>			
Shao et al 2013 ⁷²	4 case-control (1187 cases and 1321 controls) and 2 cohort studies (617,922 participants with 652 cases). (1986-2009)	No significant associations were found among the studies that evaluated permanent hair dye use and duration of any hair dye use. Pooled RRs of all studies for ever-use of any hair dyes were 1.13 (95% CI: 0.89-1.45), 1.29 (95% CI: 0.94-1.78) for case-control studies, and 0.90 (95% CI: 0.78-1.05) for cohort studies. Similar results were obtained when the subjects were stratified by geographic regions (RR = 1.01; 95% CI: 0.80-1.28 for studies conducted in the USA) and sex (RR = 1.03 (95% CI: 0.80-1.33) and RR = 0.90 (95% CI: 0.60-1.50) for women and men, respectively).	All studies included in this meta-analysis were published in English. The authors pointed out individual studies did not adjust for potential risk factors in a consistent way while risk estimates were derived from multivariable models, thus the combined estimation might not provide clear results.
<i>Skin Cancer</i>			
Takkouche et al 2005 ³¹	2 case-control studies (981 cases and 1427 controls). (1983-1988)	The pooled RR = 0.74 (95% CI: 0.51-1.07) for hair dye users vs. never users. For permanent dye use, in the individual studies, RRs were 1.1 (95% CI: 0.8-1.6) and 0.6 (95% CI: 0.4-1.0).	Only two studies were included in the meta-analysis.
<i>Ovarian Cancer</i>			
Takkouche et al 2005 ³¹	2 case-control studies (247 cases and 316 controls). (1981-1993)	The pooled RR = 1.71 (95% CI: 1.15-2.53) for hair dye users vs. never users. Specifically, in one study, the RR = 0.91 (95% CI: 0.36-2.31) for the hair dye ever-use; in the other study, RR = 1.96 (95% CI: 1.27-3.03).	Only two studies were included in the meta-analysis.

Table 3. Meta-analysis studies and systemic review considered by the Panel			
Author	Study involved (Published period)	Results	Note
<i>Cervical Cancer</i>			
Takkouche et al 2005 ³¹	1 case-control study (38 cases and 76 controls) and 1 cohort study (573,369 participants). (1981-1994)	The pooled RR = 0.89 (95% CI: 0.53-1.90) for hair dye users vs. never users. Specifically, in the case-control study, the RR = 0.70 (95% CI: 0.30-1.90) for any type of hair dye use; in the cohort study, RR = 0.97 (95% CI: 0.53-1.77) for hair dye ever-use, and RR = 1.51 (95% CI: 0.63-3.59) for intensive exposure (≥ 200 times).	Only two studies were included in the meta-analysis.

References:

1. Goebel C. Chemistry of Hair Coloring. Unpublished information presented at the 4 December 2022 CIR Expert Panel Meeting. Washington, DC. 2022.
2. American Cancer Society (ACS). 2022. Hair Dyes and Cancer Risk. <https://www.cancer.org/cancer/cancer-causes/hair-dyes.html>. Last Updated: 2022.
3. International Agency for Research on Cancer (IARC). IARC monographs on the evaluation of carcinogenic risks to humans. Some aromatic amines, organic dyes, and related exposures. *IARC Monogr Eval Carcinog Risks Hum*. 2010;99:1–658.
4. Ali A, Moinuddin, Allarakha S, Fatima S, Ali SA, Habib S. Risk of Carcinogenicity Associated with Synthetic Hair Dyeing Formulations: A Biochemical View on Action Mechanisms, Genetic Variation and Prevention. *Indian J Clin Biochem*. 2022;37(4):399–409. <https://www.ncbi.nlm.nih.gov/pubmed/36262790>.
5. Lim J, Huang J, Weinstein SJ, Parisi D, Männistö S, Albanes D. Serum metabolomic profile of hair dye use. *Scientific reports*. 2023;13(1):3776–3.
6. Leung L, Lavoue J, Siemiatycki J, Guenel P, Koushik A. Occupational environment and ovarian cancer risk. *Occup Environ Med*. 2023. <https://www.ncbi.nlm.nih.gov/pubmed/37429725>.
7. Rollison DE, Helzlsouer KJ, Pinney SM. Personal hair dye use and cancer: a systematic literature review and evaluation of exposure assessment in studies published since 1992. *J Toxicol Environ Health B Crit Rev*. 2006;9(5):413–439. <https://www.ncbi.nlm.nih.gov/pubmed/17492526>.
8. NIH National Cancer Institute US Health and, Human Services. NCI Dictionary of Cancer Terms <https://www.cancer.gov/publications/dictionaries/cancer-terms>.
9. Szumilas M. Explaining odds ratios. *J Can Acad Child Adolesc Psychiatry*. 2010;19(3):227–229. <https://www.ncbi.nlm.nih.gov/pubmed/20842279>.
10. Centers for Disease Control and Prevention (CDC). 2012 Lesson 3: Measures of Risk <https://www.cdc.gov/csels/dsepd/ss1978/lesson3/section5.html>.
11. George A, Stead TS, Ganti L. What's the Risk: Differentiating Risk Ratios, Odds Ratios, and Hazard Ratios? *Cureus*. 2020;12(8):e10047. <https://www.ncbi.nlm.nih.gov/pubmed/32983737>.
12. International Agency for Research on Cancer (IARC). IARC monographs on the evaluation of carcinogenic risks to humans. Occupational exposures of hairdressers and barbers and personal use of hair colourants; some hair dyes, cosmetic colourants, industrial dyestuffs and aromatic amines. *IARC Monogr Eval Carcinog Risks Hum*. 2010;99(1):499–646.
13. International Agency for Research on Cancer (IARC). IARC Monographs on the evaluation of carcinogenic risks to humans. Occupational exposures of hairdressers and barbers and personal use of hair colourants; some hair dyes, cosmetic colourants, industrial dyestuffs and aromatic amines. *IARC Monogr Eval Carcinog Risks Hum*. 1993;57(1):43–118.
14. International Agency for Research on Cancer (IARC). 2019 Preamble to the IARC Monographs on the Identification of Carcinogenic Hazards to Humans <https://monographs.iarc.who.int/wp-content/uploads/2019/07/Preamble-2019.pdf>.
15. Baan R, Straif K, Grosse Y, et al. Carcinogenicity of some aromatic amines, organic dyes, and related exposures. *Lancet Oncol*. 2008;9(4):322–323.
16. He L, Michailidou F, Gahlon HL, Zeng W. Hair Dye Ingredients and Potential Health Risks from Exposure to Hair Dyeing. *Chem Res Toxicol*. 2022;35(6):901–915. <https://www.ncbi.nlm.nih.gov/pubmed/35666914>.
17. Gabriela R.L.N.A., Alexandra B, Ștefan R., Șerban M. Hair dye: an occupational risk factor for bladder cancer among beauty industry workers. *Romanian Journal of Occupational Medicine*. 2024;75(1):56–63.
18. National Cancer Institute (NCI). 2022. Hair Dyes, Other Hair Products, and Cancer Risk. <https://www.cancer.gov/about-cancer/causes-prevention/risk/substances/hair-dyes-fact-sheet>. Last Updated: 2022.
19. More SL, Fung ES, Mathis C, Schulte AM, Hollins D. Dermal exposure and hair dye: Assessing potential bladder cancer risk from permanent hair dye. *Regul Toxicol Pharmacol*. 2023;138:105331. <https://www.ncbi.nlm.nih.gov/pubmed/36603762>.
20. Office of Environmental Health Hazard Assessment (OEHA). 2012 No Significant Risk Level (NSRL) for the Proposition 65 Carcinogen 4-Aminobiphenyl <https://oehha.ca.gov/chemicals/4-aminobiphenyl>.
21. Bury D, Head J, Keller D, Klaric M, Rose J. The Threshold of Toxicological Concern (TTC) is a pragmatic tool for the safety assessment: Case studies of cosmetic ingredients with low consumer exposure. *Regul Toxicol Pharmacol*. 2021;123:104964.
22. Yang C, Barlow SM, Muldoon Jacobs KL, et al. Thresholds of Toxicological Concern for cosmetics-related substances: New database, thresholds, and enrichment of chemical space. *Food Chem Toxicol*. 2017;109:170–193. <https://www.ncbi.nlm.nih.gov/pubmed/28867342>.
23. U.S. Food and Drug Administration (FDA). 2024 Hair Dyes <https://www.fda.gov/cosmetics/cosmetic-products/hair-dyes>.
24. Naldi L. Comments on the CIR Expert Panel document "Hair Dye Epidemiology" with reference to breast cancer risk. Unpublished information submitted to the Panel at the 4 June 2018 CIR Expert Panel Meeting. Washington, DC. 2018.
25. He Y, Cao Y, Nie B, Wang J. Mechanisms of impairment in hair and scalp induced by hair dyeing and perming and potential interventions. *Front Med (Lausanne)*. 2023;10:1139607. <https://www.ncbi.nlm.nih.gov/pubmed/37275367>.

26. Kiran Kumar G, Hariprasath GM, Mukesh R, Surya S, Sridevi M. Formulation of herbal based hair colour from *Phyllanthus reticulatus*. *Natural product research*. 2025;39(8):2114–2120.
27. Draelos ZD. A clinical evaluation of a permanent hair dye designed to reduce allergic contact dermatitis and hair damage. *Journal of cosmetic dermatology*. 2022;21(9):3925–3928.
28. Zhang Y, Birmann BM, Han J, et al. Personal use of permanent hair dyes and cancer risk and mortality in US women: prospective cohort study. *BMJ*. 2020;370:m2942. <https://www.ncbi.nlm.nih.gov/pubmed/32878860>.
29. Mendelsohn JB, Li QZ, Ji BT, et al. Personal use of hair dye and cancer risk in a prospective cohort of Chinese women. *Cancer Sci*. 2009;100(6):1088–1091. <https://www.ncbi.nlm.nih.gov/pubmed/19385970>.
30. Thun MJ, Altekruse SF, Namboodiri MM, Calle EE, Myers DG, Heath CW, J. Hair dye use and risk of fatal cancers in U.S. women. *J Natl Cancer Inst*. 1994;86(3):210–215. <https://www.ncbi.nlm.nih.gov/pubmed/8283493>.
31. Takkouche B, Etminan M, Montes-Martinez A. Personal use of hair dyes and risk of cancer: a meta-analysis. *JAMA*. 2005;293(20):2516–2525. <https://www.ncbi.nlm.nih.gov/pubmed/15914752>.
32. Spitz MR, Fueger JJ, Goepfert H, Newell GR. Salivary gland cancer. A case-control investigation of risk factors. *Arch Otolaryngol Head Neck Surg*. 1990;116(10):1163–1166. <https://www.ncbi.nlm.nih.gov/pubmed/2206501>.
33. Farooq H, Mhatre P, Aggarwal R, et al. A systematic review of association between use of hair products and benign and malignant gynecological conditions. *European journal of obstetrics, gynecology, and reproductive biology*. 2024;295:160–171.
34. Eberle CE, Sandler DP, Taylor KW, White AJ. Hair dye and chemical straightener use and breast cancer risk in a large US population of black and white women. *Int J Cancer*. 2020;147(2):383–391. <https://www.ncbi.nlm.nih.gov/pubmed/31797377>.
35. Alipour S. Comments on: Hair dye and chemical straightener use and breast cancer risk in a large US population of black and white women. *Int J Cancer*. 2020;146(9):2651. <https://www.ncbi.nlm.nih.gov/pubmed/31904111>.
36. Tete D, Ericson M, Monice S, et al. The Black identity, hair product use, and breast cancer scale. *PLoS One*. 2019;14(12):e0225305. <https://www.ncbi.nlm.nih.gov/pubmed/31800594>.
37. White AJ, Gregoire AM, Taylor KW, et al. Adolescent use of hair dyes, straighteners and perms in relation to breast cancer risk. *Int J Cancer*. 2021;148(9):2255–2263. <https://www.ncbi.nlm.nih.gov/pubmed/33252833>.
38. Kinlen LJ, Harris R, Garrod A, Rodriguez K. Use of hair dyes by patients with breast cancer: a case-control study. *Br Med J*. 1977;2(6083):366–368. <https://www.ncbi.nlm.nih.gov/pubmed/890298>.
39. Stavray KM, Clarke EA, Donner A. Case-control study of hair dye use by patients with breast cancer and endometrial cancer. *J Natl Cancer Inst*. 1979;63(4):941–945. <https://www.ncbi.nlm.nih.gov/pubmed/480386>.
40. Koenig KL, Pasternack BS, Shore RE, Strax P. Hair dye use and breast cancer: a case-control study among screening participants. *Am J Epidemiol*. 1991;133(10):985–995. <https://www.ncbi.nlm.nih.gov/pubmed/2035509>.
41. Heikkinen S, Pitkanieni J, Sarkeala T, Malila N, Koskenvuo M. Does Hair Dye Use Increase the Risk of Breast Cancer? A Population-Based Case-Control Study of Finnish Women. *PLoS One*. 2015;10(8):e0135190. <https://www.ncbi.nlm.nih.gov/pubmed/26263013>.
42. Dianatinasab M, Fararouei M, Mohammadianpanah M, Zare-Bandamiri M, Rezaianzadeh A. Hair Coloring, Stress, and Smoking Increase the Risk of Breast Cancer: A Case-Control Study. *Clin Breast Cancer*. 2017;17(8):650–659. <https://www.ncbi.nlm.nih.gov/pubmed/28549689>.
43. Llanos AAM, Rabkin A, Bandera EV, et al. Hair product use and breast cancer risk among African American and White women. *Carcinogenesis*. 2017;38(9):883–892. <https://www.ncbi.nlm.nih.gov/pubmed/28605409>.
44. Rao R, McDonald JA, Barrett ES, et al. Associations of hair dye and relaxer use with breast tumor clinicopathologic features: Findings from the Women's circle of Health Study. *Environ Res*. 2022;203:111863. <https://www.ncbi.nlm.nih.gov/pubmed/34390715>.
45. Xu S, Wang H, Liu Y, et al. Hair chemicals may increase breast cancer risk: A meta-analysis of 210319 subjects from 14 studies. *PLoS One*. 2021;16(2):e0243792. <https://www.ncbi.nlm.nih.gov/pubmed/33539348>.
46. White AJ, Sandler DP, Gaston SA, Jackson CL, O'Brien KM. Use of hair products in relation to ovarian cancer risk. *Carcinogenesis*. 2021;42(9):1189–1195. <https://www.ncbi.nlm.nih.gov/pubmed/34173819>.
47. Chang CJ, O'Brien KM, Keil AP, et al. Use of Straighteners and Other Hair Products and Incident Uterine Cancer. *J Natl Cancer Inst*. 2022;114(12):1636–1645.
48. Zhang Y, Holford TR, Leaderer B, et al. Hair-coloring product use and risk of non-Hodgkin's lymphoma: a population-based case-control study in Connecticut. *Am J Epidemiol*. 2004;159(2):148–154. <https://www.ncbi.nlm.nih.gov/pubmed/14718216>.
49. Morton LM, Bernstein L, Wang SS, et al. Hair dye use, genetic variation in N-acetyltransferase 1 (NAT1) and 2 (NAT2), and risk of non-Hodgkin lymphoma. *Carcinogenesis*. 2007;28(8):1759–1764. <https://www.ncbi.nlm.nih.gov/pubmed/17522066>.
50. Wong O, Harris F, Yiying W, Hua F. A hospital-based case-control study of acute myeloid leukemia in Shanghai: analysis of personal characteristics, lifestyle and environmental risk factors by subtypes of the WHO classification. *Regul Toxicol Pharmacol*. 2009;55(3):340–352. <https://www.ncbi.nlm.nih.gov/pubmed/19703505>.
51. Wong O, Harris F, Wang Y, Fu H. A hospital-based case-control study of non-Hodgkin lymphoid neoplasms in Shanghai: analysis of personal characteristics, lifestyle, and environmental risk factors by subtypes of the WHO classification. *J Occup Environ Med*. 2010;52(1):39–53. <https://www.ncbi.nlm.nih.gov/pubmed/20042883>.

52. Chang CM, Schroeder JC, Olshan AF, et al. A case-control study of tobacco use and other non-occupational risk factors for lymphoma subtypes defined by t(14; 18) translocations and bcl-2 expression. *Cancer Causes Control*. 2010;21(7):1147–1154. <https://www.ncbi.nlm.nih.gov/pubmed/20232134>.
53. Lv L, Lin G, Gao X, et al. Case-control study of risk factors of myelodysplastic syndromes according to World Health Organization classification in a Chinese population. *Am J Hematol*. 2011;86(2):163–169. <https://www.ncbi.nlm.nih.gov/pubmed/21264898>.
54. Salem EA, Hegazy MM, El Khouley EA. Pesticide exposure as a risk factor for lymphoproliferative disorders in adults. *East Mediterr Health J*. 2014;20(6):363–371. <https://www.ncbi.nlm.nih.gov/pubmed/24960512>.
55. Parodi S, Santi I, Marani E, et al. Lifestyle factors and risk of leukemia and non-Hodgkin's lymphoma: a case-control study. *Cancer Causes Control*. 2016;27(3):367–375. <https://www.ncbi.nlm.nih.gov/pubmed/26759332>.
56. Arshad S, Zain-Ul-Abidin S, Shamsher M. Risk factors of Leukaemia in different hospitals of Punjab. *J Pak Med Assoc*. 2018;68(6):857–861. <https://www.ncbi.nlm.nih.gov/pubmed/29887615>.
57. Gao Z, Wang R, Qin ZX, Dong A, Liu CB. Protective effect of breastfeeding against childhood leukemia in Zhejiang Province, P. R. China: a retrospective case-control study. *Libyan J Med*. 2018;13(1):1508273. <https://www.ncbi.nlm.nih.gov/pubmed/30138041>.
58. Rafieemehr H, Calhor F, Esfahani H, Ghorbani Gholiabad S. Risk of Acute Lymphoblastic Leukemia: Results of a Case-Control Study. *Asian Pac J Cancer Prev*. 2019;20(8):2477–2483. <https://www.ncbi.nlm.nih.gov/pubmed/31450923>.
59. Zhang Y, Sanjose SD, Bracci PM, et al. Personal use of hair dye and the risk of certain subtypes of non-Hodgkin lymphoma. *Am J Epidemiol*. 2008;167(11):1321–1331. <https://www.ncbi.nlm.nih.gov/pubmed/18408225>.
60. Linet MS, Vajdic CM, Morton LM, et al. Medical history, lifestyle, family history, and occupational risk factors for follicular lymphoma: the InterLymph Non-Hodgkin Lymphoma Subtypes Project. *J Natl Cancer Inst Monogr*. 2014;2014(48):26–40. <https://www.ncbi.nlm.nih.gov/pubmed/25174024>.
61. Cerhan JR, Krickler A, Paltiel O, et al. Medical history, lifestyle, family history, and occupational risk factors for diffuse large B-cell lymphoma: the InterLymph Non-Hodgkin Lymphoma Subtypes Project. *J Natl Cancer Inst Monogr*. 2014;2014(48):15–25. <https://www.ncbi.nlm.nih.gov/pubmed/25174023>.
62. Towle KM, Grespin ME, Monnot AD. Personal use of hair dyes and risk of leukemia: a systematic literature review and meta-analysis. *Cancer Med*. 2017;6(10):2471–2486. <https://www.ncbi.nlm.nih.gov/pubmed/28925101>.
63. Qin L, Deng HY, Chen SJ, Wei W. A Meta-Analysis on the Relationship Between Hair Dye and the Incidence of Non-Hodgkin's Lymphoma. *Med Princ Pract*. 2019;28(3):222–230. <https://www.ncbi.nlm.nih.gov/pubmed/30583293>.
64. Oduola MK, Nnakelu E, Giles GG, van Leeuwen MT, Vajdic CM. Lifestyle and risk of follicular lymphoma: a systematic review and meta-analysis of observational studies. *Cancer Causes Control*. 2020;31(11):979–1000. <https://www.ncbi.nlm.nih.gov/pubmed/32851495>.
65. Ahmadi M, Saeedi M, Hedayatizadeh-Orman A, Eslami M, Janbabai G, Alizadeh-Navaei R. Association between hair dye use and cancer in women: a systematic review and meta-analysis of case-control studies. *Afr Health Sci*. 2022;22(2):323–333. <https://www.ncbi.nlm.nih.gov/pubmed/36407347>.
66. Kogevinas M, Fernandez F, Garcia-Closas M, et al. Hair dye use is not associated with risk for bladder cancer: evidence from a case-control study in Spain. *Eur J Cancer*. 2006;42(10):1448–1454. <https://www.ncbi.nlm.nih.gov/pubmed/16740387>.
67. Shakhssalim N, Hosseini SY, Basiri A, Eshrati B, Mazaheri M, Soleimanirahbar A. Prominent bladder cancer risk factors in Iran. *Asian Pac J Cancer Prev*. 2010;11(3):601–606. <https://www.ncbi.nlm.nih.gov/pubmed/21039023>.
68. Koutros S, Silverman DT, Baris D, et al. Hair dye use and risk of bladder cancer in the New England bladder cancer study. *Int J Cancer*. 2011;129(12):2894–2904. <https://www.ncbi.nlm.nih.gov/pubmed/21678399>.
69. Ros MM, Gago-Dominguez M, Aben KK, et al. Personal hair dye use and the risk of bladder cancer: a case-control study from The Netherlands. *Cancer Causes Control*. 2012;23(7):1139–1148. <https://www.ncbi.nlm.nih.gov/pubmed/22581032>.
70. Turati F, Pelucchi C, Galeone C, Decarli A, La Vecchia C. Personal hair dye use and bladder cancer: a meta-analysis. *Ann Epidemiol*. 2014;24(2):151–159. <https://www.ncbi.nlm.nih.gov/pubmed/24342029>.
71. Holly EA, Bracci PM, Hong MK, Mueller BA, Preston-Martin S. West Coast study of childhood brain tumours and maternal use of hair-colouring products. *Paediatr Perinat Epidemiol*. 2002;16(3):226–235. <https://www.ncbi.nlm.nih.gov/pubmed/12123435>.
72. Shao C, Qi ZY, Hui GZ, Wang Z. Personal hair dyes use and risk of glioma: a meta-analysis. *Int J Clin Exp Med*. 2013;6(9):757–765. <https://www.ncbi.nlm.nih.gov/pubmed/24179568>.
73. Lim JE, Huang J, Männistö S, Weinstein SJ, Albanes D. Hair dye use and prostate cancer risk: A prospective analysis in the Alpha-Tocopherol, Beta-Carotene Cancer Prevention Study cohort. *Cancer*. 2022;128(6):1260–1266. <https://www.ncbi.nlm.nih.gov/pubmed/34797564>.
74. Tai SY, Hsieh HM, Huang SP, Wu MT. Hair dye use, regular exercise, and the risk and prognosis of prostate cancer: multicenter case-control and case-only studies. *BMC Cancer*. 2016;16:242. <https://www.ncbi.nlm.nih.gov/pubmed/26996776>.
75. Jiann BP. Does hair dye use really increase the risk of prostate cancer? *BMC Cancer*. 2017;17(1):724. <https://www.ncbi.nlm.nih.gov/pubmed/29115940>.

76. Takkouche B, Regueira-Mendez C, Montes-Martinez A. Risk of cancer among hairdressers and related workers: a meta-analysis. *Int J Epidemiol*. 2009;38(6):1512–1531. <https://www.ncbi.nlm.nih.gov/pubmed/19755396>.
77. Ghazarian AA, Trabert B, Robien K, Graubard BI, McGlynn KA. Maternal use of personal care products during pregnancy and risk of testicular germ cell tumors in sons. *Environ Res*. 2018;164:109–113. <https://www.ncbi.nlm.nih.gov/pubmed/29482183>.
78. Gago-Dominguez M, Bell DA, Watson MA, et al. Permanent hair dyes and bladder cancer: risk modification by cytochrome P4501A2 and N-acetyltransferases 1 and 2. *Carcinogenesis*. 2003;24(3):483–489. <https://www.ncbi.nlm.nih.gov/pubmed/12663508>.
79. Zhang Y, Hughes KJ, Zahm SH, et al. Genetic variations in xenobiotic metabolic pathway genes, personal hair dye use, and risk of non-Hodgkin lymphoma. *Am J Epidemiol*. 2009;170(10):1222–1230. <https://www.ncbi.nlm.nih.gov/pubmed/19822571>.
80. Guo H, Bassig BA, Lan Q, et al. Polymorphisms in DNA repair genes, hair dye use, and the risk of non-Hodgkin lymphoma. *Cancer Causes Control*. 2014;25(10):1261–1270. <https://www.ncbi.nlm.nih.gov/pubmed/25178586>.
81. Gera R, Mokbel R, Igor I, Mokbel K. Does the Use of Hair Dyes Increase the Risk of Developing Breast Cancer? A Meta-analysis and Review of the Literature. *Anticancer Res*. 2018;38(2):707–716. <https://www.ncbi.nlm.nih.gov/pubmed/29374694>.